

FSAM Proposed Rule
on Preventive Controls for
Animal Food (PCAF)
Facilities Public Meeting

*Friday,
December 6, 2013*

Sponsored by the

Food and Drug Administration
Office of Foods and Veterinary Medicine
Center for Veterinarian Medicine
Rockville, Maryland

Held at the

John E. Moss Federal Building -- Stanford Room
650 Capitol Mall
Sacramento, California

***FDA-FSMA Proposed Rule
on Preventive Controls for Animal Food (PCAF) Facilities
Public Meeting***

December 6, 2013

I N D E X

	<u>Page</u>
<i>Greeting and Introductory Video</i>	
by Kari Barrett, Advisor for Strategic Communications and Public Engagement, FDA Office of Foods and Veterinary Medicine	4
<i>Welcome and Opening Remarks</i>	
by Sandra Schubert, J.D., Undersecretary, California Department of Food and Agriculture	10
<i>FSMA Framework</i>	
by Roberta Wagner, Deputy Director for Regulatory Affairs, FDA Center for Food Safety and Applied Nutrition	15
<i>Proposed Rule on Preventive Controls for Animal Food Facilities Overview</i>	
by Dan McChesney, Ph.D., Director, Office of Surveillance and Compliance, FDA Center for Veterinary Medicine	34
<i>Analysis of Economic Impacts</i>	
by Dan McChesney, Ph.D., Director, Office of Surveillance and Compliance, FDA Center for Veterinary Medicine	71
<i>Technical Assistance</i>	
by Cathie Marshall, DVM, MBA, Regulatory Policy Analyst, Office of the Center Director, FDA Center for Veterinary Medicine	84
<i>Public Comments</i>	98
<i>Response from Panelists</i>	124
<i>Questions and Answers</i>	128

I N D E X (continued)

	<u>Page</u>
<i>Looking Ahead Toward FSMA Implementation</i> by Roberta Wagner, Deputy Director for Regulatory Affairs, FDA Center for Food Safety and Applied Nutrition	161

KEYNOTE: "---" indicates inaudible in the transcript.
"*" indicates phonetic spelling in the transcript.

M O R N I N G S E S S I O N

(8:46 a.m.)

Greeting and Introductory Video***by Kari Barrett, Advisor for Strategic Communications and Public Engagement******FDA Office of Foods and Veterinary Medicine***

MS. BARRETT: All right. I think we will go ahead and get started. Can everyone hear me? You may have noticed we are having a few technical difficulties, but we are going to work through them. And it is a small group, so if, during the course of the public meeting, you are having a hard time hearing or there is some issue, please see Aleta, who is right here in the white jacket. And we will make sure that solve it as best we can.

Again, temperature, hearing it. You know, we want you to get the most out of this and it to be a good experience. And I know that you have already had a little frustration with the cold weather and the security and everything.

So I just really appreciate you being here.

Let me introduce myself. My name is Kari Barrett. And I work at FDA in the Office of Food and Veterinary Medicine. I am on the Communications and Public Engagement Team. And really, what I focus a lot on is the public engagement aspect. And actually, I see a few familiar faces here today. And I am really pleased. I

1 have been doing a lot of the outreach work on FSMA, all of
2 the proposals.

3 This is actually the eighth FSMA public meeting
4 that we have had in this year. So we have been out on the
5 road quite a bit. We have also been doing a lot of
6 engagements. You may have seen on our website some of the
7 farm tours and other engagements. So it has been a really
8 busy year.

9 And we are really excited to have this particular
10 proposal out, which is preventive controls for animal food.
11 I know for some of you who deal with the human food and
12 imports, it has been a busy time for you. You know, all
13 these really significant rulemakings coming out at once.
14 The logic behind that is that you can see them together and
15 be able to comment on them as a package. But we also know
16 that that is a lot of work for all of you to sort of see
17 them in their whole and try to decipher that and what that
18 means.

19 But I don't want to get ahead of myself. We will
20 have Roberta Wagner talk about sort of the larger FSMA
21 framework in just a few minutes. But before we begin with
22 all of our speakers, let me just cover a few sort of
23 housekeeping things.

24 First of all, again with the public meetings, the
25 purpose is for us to share content with you to really walk

1 through the proposal in hopes of having you -- making sure
2 your understanding of it is sufficient enough so that you
3 can comment. And so during the course of today, if you
4 have questions, if there is not clarity on the proposal,
5 this is the opportunity to discuss that and to share that
6 and really make sure everybody knows what it is that we
7 have proposed so, again, that you can submit your comments,
8 your data, your suggestions, your experience, and help the
9 final rule become the rule that is practical for this
10 industry. And I know Dan will really walk you through
11 that. And he will also T up some questions for discussion
12 today, too.

13 But, again, remember the proposal is just the
14 best thinking of the agency to this point in the process.
15 And there is a lot of process ahead. So your voice matters
16 and your comments matter.

17 A couple of housekeeping items, we are doing this
18 through Adobe Connect, so we do have people online, as
19 well, today. And I want to thank them for their time and
20 interest. We do put on our website the Power Points that
21 you will see. So just know that they are available. They
22 have already been posted to the web site.

23 We also did a live web casting on our first
24 public meeting. This is actually the third one on animal
25 food. And it is not quite up yet on the website, but it

1 will be, as well as transcripts for each public meeting.
2 And they usually take about a month between the public
3 meeting and actual posting. But all of this is available
4 on our FDA-FSMA website.

5 And we do have a list serve where we give notice
6 to people who have signed up of our FSMA actions. And I
7 have been asking lately in public meetings who has already
8 subscribed to the list serve. So if you have, could you
9 just raise your hand?

10 All right. I am seeing more and more each time.
11 That is encouraging. Because, honestly, it is our best way
12 to reach you and to keep you informed. And I think people
13 who do subscribe find it useful. We are not pushing stuff
14 out all the time, but it is a great way just to keep
15 connected.

16 We don't have much this morning in the way of
17 handouts. You may have noticed that. We try not to over
18 paper everybody. We know you don't like to carry it
19 around. And there is often a lot of waste, so we have just
20 have some really basic pieces of paper. We have the
21 agenda. And I think there is sort of a cheat sheet of how
22 to get some of the information we are going to talk about
23 today and how to comment importantly. And again, the
24 deadline right now -- I say right now, Dan -- is February
25 26. And again, Dan will speak about that deadline and how

1 firm that may be and some of the challenges around that.

2 Also, too, I just want to mention some very
3 basics. If there are any media here, any media this
4 morning? Could you raise your hand?

5 (Show of hands)

6 MS. BARRETT: Okay. And you have registered with
7 our -- perfect. All right. Great.

8 Also, restrooms, they are out the door, kind of
9 around back to the right. If you look at the back wall,
10 there is a sheet, and it has code. I think it is 432. Did
11 I --

12 (A chorus of "324")

13 MS. BARRETT: 324. Okay. 324. It is written on
14 the sheet. Sorry, Aleta.

15 Also, exits you can see are clearly marked in the
16 room should we need to leave unexpectedly. I have already
17 mentioned to folks if you could silence your cell phones.
18 If it always a little embarrassing when they go off when
19 someone is speaking. So we really appreciate that.

20 And again, as mentioned, if, during the course of
21 the day, you have any needs or there is an issue, please
22 see Aleta. She is right here. She will be happy to help
23 you. Also, we have some folks at the registration desk who
24 can help, as well.

25 Now, before again bringing up the speakers, we do

1 have a video that I want to show. And it is about the FSMA
2 framework. And it just quickly gives an overview of sort
3 FSMA and the background and where we are headed. And what
4 I would like to -- it is only a couple of minutes. But it
5 is, I think, a very useful sort of basic summary of FSMA.
6 And so with that, we will start that in a moment. But the
7 important part about that, too, is it is available on our
8 website. We are going to show two videos today. They are
9 both posted on the website. So if you are speaking to
10 audiences that are unfamiliar with FSMA, maybe this is
11 someone who supplies you or others, you can feel free to
12 download this -- it is on YouTube -- and share it. So we
13 hope that it is helpful.

14 The other thing I will mention while we are doing
15 this is we are also tweeting now. But let's go ahead and
16 go through this.

17 (Video on Food Safety Modernization Act)

18 MS. BARRETT: All right. Let's hear it for
19 captions. Right? I do apologize for that. I know that
20 you couldn't hear it very well. Again, it is available,
21 and I think it is just a very nice summary. So thanks for
22 your patience on that. and we will get right back to the
23 agenda.

24 Perfect. Okay. Thanks again, everyone. We are
25 getting to the content. And with our first speaker, I am

1 just really pleased that she could join us today. Sandra
2 Schubert, who works with the State of California, is the
3 Undersecretary for California Department of Food and
4 Agriculture. And she was appointed by Governor Jerry Brown
5 in May of 2011. And she spent two decades as a legal and
6 political strategist for government and nonprofits on a
7 variety of agriculture and public health and environmental
8 resource issues. And she spent nearly 15 years in D.C. --
9 I am sure California is a relief after that -- and worked
10 for the majority leader of the U.S. Senate and ranking
11 committee member, so very aware of the political process on
12 these really important issues. And she also has campaign
13 experience and has taught at Georgetown University School
14 of Law and lectured nationally.

15 And I am guessing many of you may know her. And
16 I am just really pleased she could be here to welcome all
17 of you to California and Sacramento today here.

18 Thank you.

19 ***Welcome and Opening Remarks***

20 ***by Sandra Schubert, J.D. Undersecretary for California***

21 ***Department of Food and Agriculture***

22 MS. SCHUBERT: Okay. I am going to -- I have to
23 hold this. Right? I am going to stand a little bit over
24 to the side, because I am short enough. And you guys won't
25 be able to see me over the podium. So can you guys hear?

1 | Is this working?

2 | So I want to start by thanking FDA and Kari and
3 | Roberta, and Dan, and the whole crew for coming to
4 | California again. I could start out by talking about our
5 | California AG industry. It is \$44.7 billion, 800,000 jobs.
6 | It is the core of much of our -- it drives much of our
7 | economy in California. And when we talk about those
8 | 800,000 jobs, we are not talking about the ports, the
9 | truckers that ship it around, the restaurants, the food
10 | service industry that all uses it. So it is a huge driver
11 | in California.

12 | And I don't need to tell you that. And we -- I
13 | think FDA and their collaboration and how the effort they
14 | put into reaching out to California for input on the FSMA
15 | roles shows that they recognize how we fit into this
16 | dynamic, not just as what we do in California, but for the
17 | country and how we help feed the world.

18 | And as we go forward in helping feed the world,
19 | we have been -- Secretary Ross and the Governor have been
20 | doing some trade delegations, primarily to Asia, because we
21 | are sort of the entryway there. And with all of our ports
22 | and everything, it is a very key environment for us. And
23 | people look to California for food safety, not just
24 | throughout the country, but in other countries. And they
25 | want our products and stuff for food safety.

1 So FDA recognizes this. This is the fifth out of
2 five rules. I don't have to tell you guys that. For the
3 produce and preventative rule, they had multiple meetings
4 in the state. Every single issue and rule they have put
5 out, they have had public hearings in California to get
6 your direct input. They have done a lot of outreach both
7 to the state and to California agriculture.

8 And I just want to acknowledge I have looking
9 through rules, not as much as CDFA staff. And in a second
10 I am going to make at least one of them stand up, and he is
11 going to love me for that. But I have spent a lot of time.
12 They are long. They are hundreds of pages. They are
13 complex. And I know we all have other things to do. But
14 it is a unique opportunity, the fact that we are being
15 reached out to and we are being looked to because we are
16 leaders on food safety in a variety of areas to give this
17 type of feedback.

18 So I just want to encourage everybody, in spite
19 of everything else that is going on here, to really step up
20 yet again, take the time to read another regulation, which
21 I know how much everybody hates that, and really go through
22 it. And not just give FDA input, but give CDFA input on
23 what you think needs to happen with the regulation, where
24 we need clarification. I know a couple things we have
25 talked about is we want a little more clarification on the

1 difference between low and high risk. We think that is
2 really important, that we make sure that we are
3 accommodating different risk levels. It is important for
4 us and you to give input, both to FDA and us, so we can
5 formulate it in our comments on what you see those lines
6 and barriers being. Things like that are really critical.

7 I know that we, in California, we are working on
8 a model plan for the California feed industry. It is
9 important to help give us input on that. We will be
10 sharing that with FDA for the FSMA process how we think
11 this should be happening.

12 So I don't want to take a lot of time, because I
13 am not the meat person. I am actually here to listen and
14 learn about the details. But I do want to call out Rick
15 Jensen. And I am going to make Rick stand up. He runs our
16 Inspection Services Division.

17 Rick?

18 And anybody else from Inspections please stand
19 up.

20 He oversees these efforts --

21 Come on, you guys. Get up. I am not joking.

22 Natalie, I see you guys. Up. Up. Up.

23 (Participants standing)

24 MS. SCHUBERT: Okay. So I want you to know how
25 important this is to CDFA. And these are the folks that

1 work on this every day. And this is Rick's team, who is
2 working on this issue and all the other issues that are
3 part of FSMA. So we are dedicated to this. They need your
4 feedback. FDA needs your feedback. I really want to thank
5 you guys for being here yet again to come to California. I
6 know it is a lot for you guys. And we appreciate you
7 asking us for input. And hopefully -- we like the back and
8 forth we have had. We think we are getting really good
9 process on clarification in going through these rules. So
10 we look forward to the process. And I really want to thank
11 you guys again.

12 MS. BARRETT: All right.

13 MS. SCHUBERT: And Rick and his staff, thank you,
14 guys.

15 MS. BARRETT: Well, thank you so much, Sandra.
16 And thank you, Rick. And thanks for the whole team coming.
17 That is really great seeing you all here. And, you know,
18 coming out here is so important for the FSMA framework. So
19 we are really pleased to be here. And we will continue to
20 come to California as we have additional proposals that
21 come out.

22 Now at this time we are going to start diving in
23 more specifically into FSMA. And we have Roberta Wagner,
24 who is here today. Roberta is our Deputy Director for
25 Regulatory Affairs at FDA Center for Food Safety and

1 Applied Nutrition. And Roberta has really -- actually,
2 Roberta has had many roles at FDA and actually has a really
3 great perspective because of that. She has been in the
4 food center. She has also worked in our Office of
5 Regulatory Affairs with the field staff. But she has been
6 very instrumental on FSMA both in the development process,
7 as well as she is playing a key leadership role as we go
8 through implementation. And so this morning she is going
9 to talk about the overall FSMA framework.

10 Roberta?

11 ***FSMA Framework***

12 ***By Roberta Wagner, Deputy Director for Regulatory Affairs,***

13 ***FDA Center for Food Safety and Applied Nutrition***

14 MS. WAGNER: Okay. Good morning. I am Roberta
15 Wagner. And I have been with FDA for 28 years now. And 20
16 of those years I was in the field. So I worked with the
17 field investigators. I actually started as a chemist in a
18 laboratory in the Baltimore district, analyzed pesticide
19 samples. I was a pesticide and industrial chemical
20 specialist out in the lab. Then 10 years in the
21 investigations and compliance branches out in Baltimore
22 district, as well. That's the accent people ask, "What is
23 that accent?" It's a Baltimore accent.

24 I am from the East Coast. And I have to say it
25 was 60 degrees when I left yesterday in Baltimore, and I

1 don't know what happened out here.

2 (Laughter)

3 So with that said, I am now in the Center for
4 Food Safety and Applied Nutrition. And I have been in the
5 center off and on for the other eight years in my career in
6 FDA. I was the Director of the Office of Compliance there.
7 My background really is as a regulator. So I am glad to
8 see the inspection force out there.

9 But with that said, I should say relative to FSMA
10 implementation, I am the lead on the Imports Group. So
11 that group is under me. And I am an advisor on the FSMA
12 operations team, which I will explain to you a little bit
13 more later today.

14 (Slide)

15 What I have been asked to do today is not talk
16 about the rule that we are here to discuss, but basically
17 to summarize and review a little bit about FSMA. It has
18 been out for four going on two-and-a-half, three years now.
19 And so I think folks might have forgotten some of the
20 background there. So what I plan on doing is basically
21 reviewing the guiding principles behind FSMA. And I will
22 talk a little bit about each of the rules, proposed rules,
23 that have already issued and talk about two other rules
24 that we will be issuing shortly, as well.

25 (Slide)

1 Just starting with why is FSMA important, you
2 just saw the video clip. We continue to have way too many
3 food-borne illnesses. And I would even say food and feed
4 contamination events I would put into that, as well. You
5 just saw the slide. About 48 million people, 1 in 6
6 Americans get sick, 128,000 hospitalized, and 3,000 die
7 each year because they food. So just think about that.
8 That is a huge significant public health burden. And we
9 believe most of it is preventable.

10 In addition to food-borne outbreaks and food/feed
11 contamination events and the associated food recalls -- so
12 every time we have one of those contamination events, food-
13 borne outbreaks, we have a lot of recalls typically. We
14 get costly disruptions in the marketplace, as you all are
15 aware. And ultimately, there can be some loss in the
16 public confidence in the food and feed safety system. And
17 this is why FSMA is important. This is why FSMA is timely.
18 This is why we need it now.

19 What FSMA does, it provides for FDA for the very
20 first time a legislative mandate to require comprehensive
21 prevention-based controls across the entire food and feed
22 supply chain. That is a first. The legislation transforms
23 FDA's approach to food and feed safety from a system that
24 is far too often responding to problems to one that
25 prevents these problems before they occur. That is what

1 | these preventive control rules are about.

2 | We live in a nation that increasingly relies on
3 | getting our food and feed and food-and-feed components from
4 | overseas. We are in a global economy now, a global world.
5 | An estimated 15 percent of the food consumed in the United
6 | States comes from abroad. And really, it is about 60
7 | percent of the fruits and vegetables consumed in the U.S.
8 | and 80 percent of the seafood that originates from
9 | countries overseas relative to the food that is consumed in
10 | the U.S. So most of our seafood and a lot of our fruits
11 | and vegetables originate from overseas.

12 | What FSMA does, it provides us in the import
13 | arena in particular significant enhancements so we can
14 | oversee imported foods and feeds. You know, it does put a
15 | lot of onus on the importer to assure that they are
16 | bringing safe food into the country.

17 | FSMA recognizes the need for a global approach to
18 | food and feed safety. It emphasizes that domestically
19 | produced foods and feeds and foreign produced foods and
20 | feeds intended for export into this country, they need to
21 | meet the same standards. They need to be, you know, in the
22 | import arena, they need to be producing food that is just
23 | as protective as we are in the domestic arena so we create
24 | a level playing field. So that is all encompassed in FSMA.

25 | (Slide)

1 There are some key FSMA principles. I will say
2 that. And these are listed on this slide. FSMA calls for
3 new accountability for all those involved in food and feed
4 supply chains, even if that supply chain begins halfway
5 around the world. The legislation emphasizes the primary
6 responsibility of the food and feed industry in producing
7 safe human and animal food.

8 FSMA requires comprehensive, as I already
9 mentioned, prevention-based controls from farm to table.
10 And we are doing this through the establishment of
11 standards. That is what these regulations are. We are
12 establishing standards for preventive controls across the
13 food and feed supply chain. We are doing it through rule
14 making, and we are doing it through a very open rule-making
15 process.

16 I mean, Kari mentioned already, and I think every
17 single one of us is going to mention today, please submit
18 your comments through the dockets. These rules are by no
19 means final. We want your comments. We have actually
20 posed a lot of questions to you, particularly in areas
21 where we really don't know what the right solution is. So
22 please send your comments in through the dockets. You can
23 truly shape, one person in this audience can truly shape,
24 certain components of these regulations. And don't think
25 you can't. So please do that.

1 The legislature requires that established
2 standards be science and risk based, targeted and
3 practical, and flexible. We recognize that the food and
4 feed production industry is very diverse. We cannot have a
5 one-size-fits-all here. We recognize that. We believe we
6 have created the rules in such a way hat it allows for
7 flexibility.

8 FSMA recognizes the preventive control standards,
9 improved food safety only to the extent the producers and
10 processors comply with them. So we can put out all the
11 rules we want. If the industry is not complying, we are
12 not going to be in a better place. So as you read through
13 FSMA, you know, the legislation definitely highlights that
14 inspections are an important means of holding industry
15 accountable for the production of safe food. It
16 establishes an inspection frequency mandate in the domestic
17 arena. And it also basically says we should be doing
18 certain numbers of inspections in the foreign arena. And
19 there is a reason for that. In FSMA they recognize the
20 oversight that is needed to make sure that the industry is
21 complying with our rules.

22 The law also provides FDA with new enforcement
23 tools. And you saw some of those on the video clip earlier
24 today. We have new enforcement authorities. They are
25 designed to achieve higher rates of industry compliance

1 with the standards that we are proposing, and also so that
2 we can better respond when things go wrong and contain
3 problems.

4 Lastly, the legislation recognizes the importance
5 of strengthening existing collaborations with foreign and
6 domestic food safety partners to achieve our public health
7 goals. We certainly cannot do this alone. And when I say
8 public health partners, we include industry, consumer
9 groups, states, other feds, even our international
10 regulatory partners, in that mix.

11 (Slide)

12 And I guess I should also have mentioned on the
13 last slide that -- well, I will move on.

14 Section 103, and this is getting technical here,
15 of FSMA mandates, hazard analysis, and risk-based
16 preventive controls for human and animal food facilities
17 require to register with FDA under the Bioterrorism Act of
18 2002. If you notice -- and I just want to give you a few
19 key points about the prevent control roles for the human
20 food and the animal food pieces. We chose to create
21 separate rules, one for human food and one for animal food.
22 And we did that for a reason, and that reason is we know
23 and recognize there are differences in hazards associated
24 with human and animal food production. So that is why we
25 have two rules.

1 I also want to emphasize that we chose -- and
2 this was an FDA decision -- to modernize current good
3 manufacturing practices for human food facilities and
4 proposed for the first time -- and Dan will talk more about
5 this current good manufacturing practices for animal food
6 facilities through the preventive control rule-making
7 process. FSMA, if you read FSMA, you are not going to see
8 it mentioned that we are going to do rule making around
9 GMPs. FDA chose to do it this way. So I just want to make
10 that clear. And again, that supported two different rules,
11 one for the animal and one for the human food.

12 With that said, the preventive controls for
13 animal food rule aligns very closely to the proposed rule
14 for preventive controls for human food. Both proposals are
15 based on HACCP principles, Hazard Analysis and Critical
16 Control Point principles. And for those of you that have
17 been around the food and feed industry for while, you know
18 that the industry actually pioneered the HACCP principles.
19 And they have been required by FDA in certain areas for
20 years in juice and seafood.

21 Both rules have built-in flexibility, as I have
22 already mentioned, to account for diversity in food and
23 feed production operations. The rule permits firms to
24 establish preventive controls that fit their products and
25 their operations as long as the controls minimize the

1 hazards or prevent food safety hazards that are reasonably
2 likely to occur. So we have tried to build that into the
3 rules.

4 Both proposed rules are based on the premise that
5 required changes to current food and feed production
6 practices need to make a substantive difference relative to
7 food safety and the protection of human and animal health.
8 In other words, we don't change for the sake of change. If
9 there are best practices out there, you know, we want to
10 build upon those. If we are asking for change, it is
11 because it will improve food safety and/or protect human
12 and animal health.

13 And again, why there are similarities in the two
14 preventive control proposed rules, there are some distinct
15 differences. And Dan will explain some of those in a few
16 moments.

17 (Slide)

18 Key implementation challenges. And we are
19 talking about implementation challenges relative to the
20 major preventive control rules under FSMA. We recognize
21 that there will be challenges to industry's implementation
22 of the standards proposed in these preventive control
23 proposed rules. But there are also going to be challenges
24 to the regulators responsible for assuring compliance with
25 these rules.

1 Some key implementation challenges are outlined
2 on the slide. You know, for example we are challenged in
3 that we need to recognize and accommodate the complexity
4 and diversity of the food and feed system. We sit in
5 Washington. We make rules. And we don't know all the
6 diversities out there. Again, the reason we have to do
7 stakeholder outreach, and we continue to stakeholder
8 outreach.

9 We need to recognize past progress in the area of
10 food safety, as I mentioned, and build upon it, not start
11 from scratch. We are not starting from scratch here. You
12 know, many people have adopted HACCP principles and good
13 agricultural practices. We need to recognize that and
14 build upon it.

15 We are challenged in that we need to provide
16 sufficient industry outreach and technical assistance as
17 firms and facilities move to achieve compliance with the
18 new preventive control rules. We recognize that we are
19 going to have to put out a lot of industry guidance so that
20 this -- especially for the smaller and medium-sized
21 players. You know, the big players out there, they pretty
22 much know what they are going to have to do. But we need
23 to help, and we recognize we need to help, the smaller and
24 the medium-sized players.

25 Lastly, we are challenged in that we need to

1 develop and implement new approaches to inspections and
2 compliance that are public health focused and preventive
3 focused, as opposed to regulatory and enforcement focused.
4 So we really are going to be taking a hard look at our
5 inspectional approaches, and we are going to try to
6 transform to something that is more public health and
7 preventive focused, as opposed to -- if you have
8 experienced an FDA inspection, you know we are out there.
9 We are inspecting against a set of regulations. We are
10 gathering evidence. We are almost out there in enforcement
11 mode regardless of whether a firm has a good track record
12 or not. So we will be rethinking that.

13 FDA intends to change our current approach to
14 achieving industry compliance to one of partnership with
15 all food safety players. As I mentioned before, the food
16 safety players are the states, other feds, international
17 partners, industry, trade associations, and consumer
18 organizations. So we are looking to everyone to help us
19 implement this rule appropriately.

20 (Slide)

21 So now I am going to spend the last couple
22 minutes just going over some of the other proposed rules
23 that have been issued in addition to the one that we are
24 here to talk about today, the preventive controls for human
25 food rule. And I will also quickly mention two other rules

1 that we will be issuing very shortly. I am just going to
2 start with a recap of what is out there and kind of the
3 timing around that. And then I will give you a few key
4 points relative to the other four major proposed rules that
5 I consider part of the preventive control package under
6 FSMA.

7 So as most of you are aware, in January of 2013,
8 FDA announced the release of the proposed rule for
9 preventive controls for human food facilities and the
10 proposed rule that includes standards for produce safety.
11 Then in July 2013, we released two import-focused rules,
12 one for foreign supplier verification programs for
13 importers. I will be referring to that as FSVP from this
14 point forward. And then we also issued the proposed rule
15 titled accreditation of third-party auditors and
16 certification bodies.

17 The latter two rules will help assure that the
18 standards in the preventive control rules issued under FSMA
19 are being met for imported products. So we do expect that
20 the preventive control rules for human food and animal will
21 be applied in the international arena, as well.

22 In late October 2013, we issued the proposed rule
23 for preventive controls for animal food. And lastly, I
24 just want to mention that we will be issuing early next
25 year the proposed rule for safe food transport and then

1 | also a proposed rule to deal with intentional adulteration.
2 | And for those of you that are not aware, intentional
3 | adulteration can include things such as a bioterrorism
4 | threat or economically motivated adulteration or
5 | substitution.

6 | (Slide)

7 | So a few key points relative to the produce
8 | safety rule. You know, FSMA directs FDA to set science-
9 | based standards for the safe production and harvesting of
10 | fruits and vegetables to minimize the risk of serious
11 | adverse health consequences or death. The proposed
12 | regulation, we do need to mention that it really will apply
13 | to 20 percent of the farm that produce about 80 percent of
14 | the fruits and vegetables in this country. So as you read
15 | through that rule, you will see a lot of exemptions in
16 | there. And it really is targeting the biggest players in
17 | this country. And those biggest players are about 20
18 | percent of the farms in this country. And like the slide
19 | says, they produce about 80 percent of fruits and
20 | vegetables in this country. That rule also applies to
21 | farms in the foreign arena, produce produced in the foreign
22 | arena that is intended for export to the United States.

23 |

24 | FDA's proposed standards associated with
25 | identified routes of microbial contamination of produce

1 through this rule making, including standards for
2 agricultural water, biological soil amendments of animal
3 origin and animals in the growing area, and also standards
4 for equipment, tools, and buildings, and employee health
5 and hygiene.

6 And I have to say we continue to do outreach to
7 the grower community. Even after the rule was published
8 and during the comment period, we continued to hear issues
9 and concerns. And some of them are extremely legitimate.
10 And FDA plans on addressing all of those.

11 (Slide)

12 So key points for FSVP, this is your foreign
13 supplier verification program for importers. It is the
14 foundation of our modern oversight of imported food and
15 feed. Under the proposed FSVP regulation, importers would
16 be required and held accountable for performing certain
17 risk-based activities to verify that the food and feed they
18 are importing into the U.S. meets U.S. standards or that it
19 is produced, you know, put another way, it is produced in a
20 manner that provides the same level of public health
21 protection as that required for domestically produced food
22 and feed. So we are creating a level playing field here
23 between imported and domestic food.

24 The proposed rule for FSVP is predicated on a
25 reliance on private sector supply chain management. The

1 requirements are risk based. They will vary depending on
2 the food and feed product, the hazards associated with
3 various food and feed products, who is to control the
4 hazard, and where the hazard will be controlled. So there
5 are different requirements, if the hazard is going to be
6 controlled by the foreign supplier in the foreign arena
7 versus if it is going to be controlled in the United States
8 by the U.S. importer, which can be the manufacturer, as
9 well, or the U.S. consignee.

10 So if you read the rule carefully, it really
11 distinguishes requirements between whether the hazards are
12 controlled in the foreign arena or in the United States by
13 the importer, which could be manufacturer or the consignee.

14 And then there is also -- it is risk based in
15 that there can be differences based on the category of
16 importer. And what I mean by that is that very small
17 importers will have perhaps different requirements than
18 larger. That is what the proposed rule suggests.

19 FSVP obviously is part of a larger FDA importer
20 oversight tool kit. And then one thing I want to mention
21 is that FDA intends to align the supplier verification
22 provisions in the FSVP rule with supplier verification
23 provisions in the final rules for preventive controls for
24 human and animal food. If they end up in the rules -- and
25 that is an area that we have asked for suggestions and

1 | comments -- we really want to make sure that we are not
2 | creating a system where folks that importers under FSVP,
3 | and these importers are also food manufacturers, that they
4 | have to meet two sets of requirements that duplicate one
5 | another. So we do have that in the back of our minds as we
6 | move forward.

7 | (Slide)

8 | Okay. The accredited third party rule -- and I
9 | have to say this: this rule has -- folks are really
10 | misinterpreting what we are trying to do through this rule.
11 | So hopefully I can clarify a few things here. And there
12 | are a few points relative to this rule. Under FSMA, FDA is
13 | required to establish a voluntary program for accrediting
14 | third-party auditors to conduct food safety audits of
15 | foreign facilities and their food.

16 | Under FDA's voluntary program, the way this works
17 | is FDA recognizes accreditation bodies based on a set of
18 | criteria. Those recognized accreditation bodies will then
19 | accredit third party auditors or certifying bodies. And
20 | then the third party auditors audit and issue
21 | certifications for foreign food facilities and/or foreign
22 | food that is deemed in compliance with U.S. standards. And
23 | they have to be in compliance with U.S. standards. It is
24 | very clear on that.

25 | With that said, under FSMA, very limited

1 application of when you would use or have to use an
2 accredited third-party auditor. Specifically, facility
3 certifications will be required by importers that
4 participate in the voluntary qualified importer program,
5 VQIP. That program has yet to be established. And
6 basically that is going to be a program that will provide
7 expedited review and entry of food for the best of the best
8 importers. So these are importers with phenomenal track
9 records and importers that are going to be very transparent
10 or have to be very transparent with FDA relative to what
11 they know about foreign suppliers.

12 So that is one place that we would have -- if
13 somebody wants to be part of VQIP, they will have to have
14 facility certifications for the imports they are bringing
15 into the country. The other place is FSMA gave FDA
16 discretionary authority -- and I want to emphasize the word
17 discretionary here -- to require mandatory certification of
18 imported food that poses a safety risk based on specific
19 criteria that are laid out in the legislation. And it is a
20 list, quite the list. So those are the only two
21 circumstances or purposes for certifications under FSMA.
22 It is in the foreign arena, it is for VQIP, it is for
23 mandatory certification. That is it. So hopefully that
24 clarifies that a little bit.

25 (Slide)

1 So this is my last side. You know, again, we
2 want to emphasize that written comments are crucial to the
3 proposed rules. I don't want you to think that we already
4 have what the final rules will look like in mind, because
5 we don't. We continue to receive and review the comments.
6 And the final rules will reflect the comments that we have
7 gotten. And we have gotten a lot of comments on our
8 proposed rules so far.

9 We are committed to continuing stakeholder
10 engagement. You know, I am thrilled that you are here
11 today. This is a stakeholder engagement, in my opinion.
12 Ask questions, as Kari mentioned. This is all part of the
13 process.

14 And I will just close by saying that at the end
15 of today I will be talking a little bit about what FDA has
16 been doing relative to implementation of FSMA. I mean, you
17 obviously know we are doing rule making, and we are
18 starting down the road of guidance development, but there
19 are other things that we are doing already, looking at what
20 these rules might look like and how we would operationalize
21 that, what kind of inspection, what will be our inspection
22 strategy, what will be our compliance strategy.

23 You know, in things like the produce arena, our
24 compliance strategy might look very different than what it
25 could potentially look like in the preventive control

1 arena. For example, there might be a very extensive period
2 of time where we are doing education and outreach and
3 technical assistance. And then we might move into pre-
4 assessments or something like that. It will be a long time
5 before we are moving into enforcement. But with that said,
6 obviously if we run into outbreak situations, that is a
7 whole different beast. So thank you for your
8 attention. And I will turn it back to Kari.

9 (Applause)

10 MS. BARRETT: As Roberta -- well, a couple
11 things. To Roberta's point about one voice here can make a
12 difference, it certainly can. So again, your comments are
13 so important. The other is to have a dialogue. Roberta
14 will be on our panel, listening to public comment. She
15 will also be on the panel for Q&A. So there is an
16 opportunity for a dialogue then. And as she mentioned,
17 when we get into implementation at the end of the day, we
18 will also do some additional Q&A, if people have any
19 questions. So there will be a number of opportunities for
20 that.

21 Before I introduce Dan McChesney, I have a
22 housekeeping note that if someone has a Nissan, their key
23 might be at security. During the break or if it is your
24 car, you might want to see them prior to the break.

25 Okay. So I would like to welcome Dan McChesney

1 up to the podium. Dan is really going to get to the meat
2 of what this proposed rule on animal food preventive
3 control is all about. He is our Director, Office of
4 Surveillance and Compliance for the FDA Center for
5 Veterinary Medicine. And as mentioned, he will give an
6 overview of the proposed rule.

7 I also have to mention we have a change in our
8 agenda. And Dan is willing to step in and also talk about
9 the economic analysis. Unfortunately, John Lienesch, who
10 was to speak on that, was unable to join us. So really, I
11 want to say thank you, Dan, for covering that piece, as
12 well.

13 So Dan, if you will come up. Thank you.

14 ***Proposed Rule on Preventive Controls for Animal Food Facilities Overview***
15 ***By Dan McChesney, Ph.D., Director, Office of Surveillance and Compliance,***
16 ***FDA Center for Veterinary Medicine***

17 DR. MCCHESENEY: Thank you, Kari.

18 Welcome. It is nice to see and say I recognize a
19 number of faces in the audience, and a couple comments
20 going towards that. The first public meeting was held in
21 the metropolitan Washington, D.C., area. And I would say
22 those of us that were in the Washington, D.C., area
23 recognized it was sort of all the normal players that were
24 there. All the associations were there and a variety of
25 people that we deal with on a really regular basis.

1 Then we went to Chicago, and we got more towards
2 people who were going to be implementing the rule and
3 affected by the rule. And I think as I look around here
4 today, you know, I think we are moving even more towards
5 that. I think we are seeing a lot of folks in the audience
6 here who are really going to be impacted by this rule. And
7 I think that is really a good thing.

8 The other thing, when Sandra mentioned that the
9 California Department of Agriculture is here -- and there
10 is quite a contingent of them back there -- FDA has had a
11 long, fairly strong, very strong relationship with them,
12 surely on the animal feed side, which I am experienced
13 with, and California has a very strong program on the
14 animal feed side. And having said that, just to give you
15 some overall feeling for it, of all the inspections that
16 FDA does related to animal feed products, FDA does about 30
17 percent of them and the states do about 70 percent of them.

18
19 So this rule is going to have a big impact on
20 states that are doing inspections. And so we realize that.
21 And we will talk a little bit about training towards the
22 end here, but we realize that there is going to be a big
23 training component here. And we are relying heavily on our
24 states to surely do the preventive control for animal feed,
25 but I would say also probably for the other rules, for the

1 human food preventive controls.

2 With that, we will go ahead and start here.

3 (Slide)

4 The official name of this -- oh, a different
5 start here. Let me see. Let me go back one.

6 We left out one slide. And the reason -- I am
7 not sure why we left it off. But we normally talk about
8 this as being the animal feed preventive control rule. And
9 the reason we talk about that is simply because this is the
10 official title of the rule. And if we had to say that, we
11 would be here many more hours.

12 Now having said that, if in fact you went to look
13 at the comment on the preventive control rule for animal
14 food, you would not find that. This is what you would
15 find. So when you go, we are looking for comments on this
16 rule, and this is what it will be listed under, its
17 official title.

18 Some of the requirements, it has already been
19 touched on a little bit here, but this rule establishes
20 good manufacturing practices for the entire animal feed
21 industry. And it is the first time it has done that. In
22 the past -- or currently, we have good manufacturing
23 practices for medicated feeds. And those good
24 manufacturing practices largely focus on how you
25 incorporate and deal with the drug part of the feed, not

1 the feed itself.

2 So this is establishing good manufacturing
3 practices for animal feed for the first time across all
4 segments of the animal feed industry. And when we talk
5 about animal feed, we are talking about both pet food and
6 food for food animals. It also establishes hazard analysis
7 and risk-based preventive controls. And we will talk more
8 about that. So those are the two major parts of the rule.

9 (Slide)

10 Who is covered? It is pretty straightforward.
11 If you manufacture, process, pack, or hold animal food, and
12 you have to register under the Bioterrorism Act, which is
13 Section 415 of the Food and Drug Cosmetic Act, you are
14 subject to this rule, or if any part of your business that
15 does that is subject to this rule. So there are a few
16 circumstances where you might have, such as a fertilizer
17 operation, which would not be subject to the rule, but if
18 you are selling something into the animal feed side, such
19 as phosphates, that portion would be. So there are some
20 little nuances like that.

21 It applies to both domestic and imported food, as
22 has already been touched on. As we go to a globalization
23 of our food supply, it becomes more and more important that
24 we look at imported products.

25 The last one, some exemptions and modifications

1 requirements are being proposed. The legislation allows
2 specifically in the legislation that there be differences
3 between the human preventive control rules and the animal
4 feed preventive control rules, recognizing that there are
5 surely differences in the animal feed industry and the
6 human food industry.

7 Now, having said that, these rules cannot be
8 wildly different, because we still have to have one
9 inspection enforcement doing them. So we cannot have
10 hugely diverse rules, but we surely can tailor the rules to
11 the animal feed industry. And we will talk about some
12 dollar exemptions that really relate to the size of the two
13 industries.

14 (Slide)

15 Human versus animal preventive controls. I put
16 this slide up here originally because human preventive
17 controls came out a long time ahead of animal preventive
18 controls. And we could, because of some administrative
19 procedures acts and legal requirements, we could not talk
20 about a rule that had not come out. So I used to talk
21 about the human preventive control rule and say it is
22 really a whole lot -- the animal one is going to be a whole
23 lot like it.

24 But it does go here to point out how they are
25 alike, and they are very, very similar. The animal

1 preventive control rule establishes good manufacturing
2 practices. The human prevent control rule, as you heard,
3 had modified some of the current ones. There are not a lot
4 of changes, but there are some sort of modernizations of
5 them. All the modernizations that we have seen on the
6 human good manufacturing practice side are incorporated
7 into the animal good manufacturing practices.

8 Food allergens are a stated hazard on the human
9 preventive control rule. Food allergens we do not consider
10 a hazard in the animal preventive control rule. Not
11 because we don't have food allergens in animals, but what
12 we see in animals are usually skin irritations, things like
13 that. We don't see the anaphylaxis-type reactions. So
14 they are not in there.

15 What we have put in animal preventive control --
16 and it is probably not characterized, based on questions we
17 have gotten, the fifth bullet here does not characterize
18 what our concern is correctly, but it surely brings up a
19 talking point. It says animal plan control does include
20 nutrition and balances. And when we talk about nutrition
21 and balances, we are not trying to be the animal
22 nutritionist here and say, oh, for poultry this is what the
23 diet has to look like. We are simply saying that if, in
24 fact, the feed says it includes X, it needs to include X,
25 and it needs to include it at that amount.

1 That becomes critically important for animal
2 feed, because the animals are eating complete diets. So if
3 you have a pet, your pet is eating the same thing day in
4 and day out. Or if you have cattle or swine or chickens,
5 they are eating the same diet day in and day out. So it is
6 very important that if it says there is salt in the poultry
7 diet, the salt is in there and it is in there at the
8 correct amount, or if it says there is calcium in there,
9 calcium needs to be in there in the correct amount.

10 On the human side of the house, you know, if you
11 don't get something in one of your food groups, you are
12 always going to eat something else that it is going to be
13 in there. So, you know, you can have your green beans and
14 you can have your protein source and you can have your
15 potato chips and your ice cream. You know, animals really
16 don't get that option. So their individual diets actually
17 become much more important than our diets, because they
18 have less ability to choose.

19 And we will talk about different definitions for
20 very small businesses. And again, it is based on the way
21 the industries are structured.

22 (Slide)

23 I put up the current good new manufacturing
24 practices here just to give people a flavor of what is in
25 there. It covers all the same areas that are covered under

1 human GMPs. It also covers all the same areas under GMPs
2 that have been out there under the AAFCO GMP requirements.
3 It is similar to the PSA 2200, I think it is PSA, which is
4 an industry/academia good manufacturing practices for the
5 feed industry. It is also the same area that is covered by
6 the CODEX documents on animal feed. So there is nothing
7 new here. What is new might be the stringency in what is
8 in here.

9 So we are going to spend a few minutes just
10 highlighting a couple of things that are in this area,
11 because it is not my intention here to walk through and say
12 this is what you have to have for GMPs, but to sort of give
13 you a flavor of what is in them and where you might want to
14 comment.

15 (Slide)

16 Personnel deals with good hygiene practice. If
17 an employee is sick, should they be handling finished
18 product or, you know, product that in the original heat
19 kill step might do something. What is that? It also
20 includes things like training.

21 This, I think, is going to be an area where we
22 are looking for comment, because the starting place on the
23 animal feed rule for good manufacturing practices are the
24 human GMPs. And you might say, well, they are too
25 stringent for animal feed practices. I think one of the

1 major areas we are looking for comments is in the hygiene
2 area. What is appropriate? Is it the same thing that is
3 appropriate or should there be lesser standards? And we
4 will talk a little bit more about that in a few minutes
5 here.

6 Plants and grounds, you know, concludes that
7 proper cleaning, maintenance, and pest control. We will
8 just pick on pest control, because we -- I have always -- I
9 have done that in the last two. And this is very much like
10 presenting a college lecture, which I used to do. And you
11 are teaching the same class over and over, so you like to
12 keep using the same examples so you don't confuse the
13 students. Or sometimes you just have one example.

14 The one I always pick on here is pest control.
15 You know, you need to control pests. If you have a feed
16 mill, no matter where it is, you need to keep the grounds
17 cleared back from the facility. You need to make sure
18 pests don't get in. and pests can be, you know, mice and
19 rats or they could be deer or birds or you name it. And
20 my comment in the past has always been, if you have a feed
21 mill and you are out somewhere, I will just say here in the
22 central valley and you have a feed mill, there are a lot of
23 animals outside looking in at you, looking like you are
24 running a restaurant. You know, if I can get into that
25 feed mill, I don't have to go forage for my food. It is

1 | just there. All I have to do is just chew through a bag or
2 | something. So it is really important to keep pests out.
3 | You know, the same on the human side. But there is a --
4 | you know, just an example, that when we talk about things
5 | like that, it is sort of the obvious.

6 | (Slide)

7 | Sanitary operations. You need to keep the
8 | surfaces clean. Proper use and storage of toxic chemicals.
9 | You know, you don't store your pesticides next to some feed
10 | ingredient. Water supply, sanitary facilities control.
11 | You need an appropriate water supply, plumbing, hand
12 | washing facility, just normal, good hygiene practices.

13 | (Slide)

14 | Process controls. This is how you make the
15 | product. Adequate sanitary -- there are some sanitary
16 | principles that are not included under GMPs that you need
17 | to address in these rules that are not laid out here. You
18 | have to label things correctly. Do you make them
19 | correctly? You know, are you doing something that prevents
20 | contamination or controls it? Equipment, do you have
21 | equipment dedicated to the dirty side of the facility, to
22 | the clean side of the facility, or do you take your front
23 | loader and use it on both sides? You should not be doing
24 | that, obviously. And warehouse and distribution, do you
25 | store it correctly? Do you keep it dry? Things like that.

1 So just sort of basic things.

2 (Slide)

3 And they cover this in a whole variety -- now,
4 the slides we have had on GMPs, this in my view is probably
5 the most important slide, because here is where it says we
6 realize there is a spectrum of animal food producers,
7 production facilities, and hazards and risks that can vary
8 greatly. So we can look at a pet food manufacturer over
9 here, who in many ways is the same as a human food
10 manufacturer. They make a product. The product comes into
11 the home. It is handled in the home. If there is
12 contamination with that product, and contamination is low
13 on those products, but if there is and it is handled in the
14 house, it can contaminate work surfaces, utensils. And if
15 they are not cleaned properly, you can end up with sick
16 individuals. And we have numerous cases of that over the
17 years. And we are getting better at detecting that.

18 So that is a concern on the pet food side. So
19 you might say, well, they need GMPs that are very similar
20 to the human side. On the other side of the house you
21 could be running a feed mill, making cattle or dairy feed.
22 You make the feed. It goes into a large truck. It goes to
23 the farm, and it is spread on the ground in front of the
24 animals. You might say, well, I need some different --
25 some of the GMPS that would be appropriate for pet food may

1 not be appropriate there. And that is sort of our dilemma.
2 You know, how do we -- what is the right balance there?
3 And that is where we are looking for your help on that for
4 reasons of, one, should they be different; if they are
5 different, how should they be different, and how should we
6 kind of marry those things up so we don't have GMPs,
7 completely different GMPs for everybody, for multiple
8 people.

9 And so it is really -- that is what this is
10 really asking about. What is appropriate? You know, we
11 said we would start up with the human food GMPs. To be
12 quite honest, we started there because we had a few months,
13 we had like four months to write this. People were writing
14 it on like Christmas Eve because they had to get it out.
15 It came out two years later. So if we were writing that --
16 if we realized we had two years to write this, as opposed
17 to two months, we very likely would have written it very
18 differently. You know, I think Roberta could attest to
19 that. All the rules that we heard today, we probably would
20 have written them differently, if we knew we had all the
21 time we ultimately had. But we didn't. And so realize
22 there needs to be changes in this. So surely on the GMP
23 side, we are asking for your comments. Basically, did we
24 start in the right place? And if not, how do we need to
25 modify that and what modification? So we would really ask

1 you to look at these closely and focus a lot of comments on
2 GMPs.

3 The goal here today is to highlight probably six
4 or seven areas for questions. And having said that, I
5 think we asked for comments in about 60 areas, on about 60
6 things. But what we are talking about today are probably
7 the major things we really need your help on.

8 (Slide)

9 Now we are going to move to the preventive
10 control part of the rule. We put this slide up here, and
11 we could probably just talk on this slide and not use any
12 other slides that follow. But we are starting off here at
13 the top. So you have to first identify your hazard,
14 whatever hazards are associated with your product.

15 When we talk about hazard identification here, it
16 is a little bit different, it is very different actually,
17 on the animal side than it is on the human side, because
18 when you are identifying your hazard, you have potential
19 endpoints. You have the endpoint of human health,
20 especially if you are a pet food, but you could also have a
21 pet food with an endpoint of animal health. The class
22 example, two examples there, are Salmonella in pet food is
23 largely -- is not much of an issue for the animal.
24 Animals, dogs and cats, rarely get ill, violently ill, from
25 Salmonella, but people do.

1 On the other hand, if you don't put thiamine in
2 cat food or you leave vitamin D out of other pet foods, you
3 can end up with a really ill animal very quickly. So two
4 different endpoints there, human health, animal health. On
5 the food animal side, it is largely an animal health issue.
6 So I guess the point is when you are looking at hazards,
7 you are going to have to consider hazards both in two
8 areas: Does it impact animal health and also does it
9 impact human health? And consider hazards in both of those
10 areas.

11 Once you have identified the hazards, you have to
12 come up with preventive controls. Once you have your
13 preventive controls, you have to monitor to make sure you
14 are actually doing them. What happens when they don't
15 work? You need to take corrective action. All the stuff
16 you have in place, if you put something in place and you
17 don't verify that it is actually working and it is the
18 appropriate thing, it is pretty useless. So there needs to
19 be verification.

20 Recordkeeping. This rule is incredibly heavy on
21 records, probably the most burdensome part of it and the
22 thing I think most folks will have problems with. And then
23 once you do all that, you sort of start the cycle again.
24 You go back to your hazard analysis.

25 (Slide)

1 Who is responsible for developing this plan?
2 Well, it says a qualified individual. And what are they
3 supposed to do? Prepare a written food safety plan,
4 validate that they are working, review of records,
5 reanalysis of the food plan. Well, who can do this? The
6 qualified individual has to be one qualified either by
7 training and/or experience. It can be a person within your
8 organization, with the firm. It can be someone outside of
9 that that you hire as a consultant to come in and develop a
10 plan. They just have to some relationship, but they don't
11 have to be an onsite person.

12 (Slide)

13 Preventive controls. The legislation talked
14 about preventive controls in three areas. The first two
15 were probably pretty obvious. You want to talk about
16 process controls and sanitation controls. Because, as we
17 have said before, there are some sanitation issues that are
18 not controlled by GMPs, and rightly so for preventive
19 controls. Process controls, that is where you identify
20 your hazards. How a recall plan got to be a preventive
21 control no one quite understands, but that is how Congress
22 put it in the rule. And so we will just go with what
23 Congress said. They call that a preventive control, and it
24 is. Independent of what they call it, you still need a
25 recall plan.

1 (Slide)

2 Preventive controls. We have sort of mentioned
3 this already. What do you need to do? Well, you need to
4 do a hazard analysis. You have to develop preventive
5 controls for those hazards that are reasonably likely to
6 occur. And we will talk a little bit more about that
7 terminology in a minute here. You have to have a written
8 plan. And you have to have a recall plan for animal food
9 for which a hazard is reasonably likely to occur.

10 (Slide)

11 Hazard analysis. I mean, this is just sort of
12 basic HACCP. You have to identify a known or reasonably
13 foreseeable hazard for each food type to determine whether
14 a hazard is reasonably likely to occur. Naturally
15 occurring hazards may either be, you know, be
16 unintentionally added, either natural or -- and you may
17 control hazards that may occur naturally or may be
18 unintentionally introduced. And you must consider all
19 types of biological hazards.

20 The first bullet up there -- and we will talk a
21 little bit more on the other side about this -- has given
22 rise to some comments on the human food side. And so when
23 you look at this, I would encourage you to read the human
24 food comments that I believe are submitted by GMA. And it
25 goes to the basis of reasonably foreseeable and reasonably

1 | likely to occur. And FDA's view is that that is a pretty
2 | broad way we can interpret that.

3 | There are some other views that are relayed out
4 | in comments that, by saying this, we are actually making a
5 | lot more things, requiring a lot more recordkeeping than
6 | may be required. So is a way to read this that says
7 | everything you control under with GMPs, you may have to
8 | have lots of records and verification that it is working.

9 | And it is also not the wording that has been used
10 | traditionally in some HACCP plans. So there is a concern
11 | surely in the human food industry that some HACCP plans, as
12 | currently structured within that industry, would not fit
13 | under preventive controls. And I believe -- and so we
14 | actually had a meeting on that with the folks who made
15 | those comments to try to understand that. And we are
16 | working on getting that and understanding that.

17 | It is a lot of nuances. I think FDA's view is we
18 | have put this as a pretty broad overarching type of
19 | preventive control that HACCP fits in. Another way to read
20 | it is we are requiring something different. So when you
21 | give comments on this, I would ask you to go read those
22 | comments that GMA and other parts of the human food
23 | industry have put it around this issue, because this is one
24 | issue that, one, is going to have to be resolved, and it
25 | cannot come out two separately -- we cannot come out one

1 way for human preventive controls and another way for
2 animal preventive controls. We have to have the same
3 approach and the same wording in this area. So we are
4 really looking for comments both on -- you know, the human
5 rules closed, but ours is open. We are looking for your
6 comments on those.

7 (Slide)

8 Obviously, the other part of hazard analysis that
9 you have to do is what is the severity of the illness or
10 injury that occurs. I don't think this is controversial.
11 It is just basic HACCP or basic hazard analysis.

12 (Slide)

13 It was mentioned in a question, where should --
14 hazard analysis of intentional hazards. We asked for
15 questions in there. Should we -- should this rule cover
16 economic -- hazards introduced for economic reasons. The
17 classical example there would be melamine here a few years
18 ago put in to make a protein content. You know, should you
19 be required to know the control for those hazards that are
20 economically introduced? And if so, when should you be
21 required to do that?

22 Now, admittedly, it is pretty hard to know about
23 a hazard that you have not been exposed to before. So I
24 think if anybody said, well, melamine is going to be used
25 in wheat gluten before it was, I don't know that anybody

1 | would have come up with that. Once we found out that that
2 | is a common thing, you know, now it is a hazard that people
3 | have to reasonably -- it is likely to occur or potentially
4 | likely to occur, that needs to be controlled for. So we
5 | are looking for comments on that. And we fully realize
6 | that controlling something you don't know that people are
7 | going to do is a difficult thing. But having said that,
8 | you know, I think nowadays if you look back and say I am
9 | buying a product on protein content. How might one alter
10 | the protein content of that. I think you need to have some
11 | reasonable, say, thought process in that. Or if you are
12 | buying something on fat content, how might they make fat
13 | content look different?

14 | So we are looking for information and your
15 | thoughts on that. And this is all flushed out in the
16 | preamble. It gives you a little more background on this.
17 | But basically the question is, should economically --
18 | should economic adulteration be considered under this rule
19 | is sort of the bottom line question.

20 | (Slide)

21 | Preventive control elements. We have already
22 | mentioned these. Once you do your hazard plan, your
23 | analysis, you have to do monitoring corrective action,
24 | verification. And you have to keep records.

25 | (Slide)

1 Monitoring. We have already talked about that.
2 You have to have written monitoring procedures. How often
3 are you monitoring? What are you doing? And you have to
4 have records that do that.

5 (Slide)

6 Corrective action. You have to have a corrective
7 action plan: When I find the problem, this is what I am
8 going to do. It needs to be written down. You have to
9 assure the affected food is evaluated for safety. And once
10 you determine food is adulterated, you have to prevent it
11 from entering commerce, or somehow being treated before it
12 reenters commerce. Remember, this is -- we are looking at
13 this piece here, if this is an animal food being recalled.
14 But one could also look at this as a human food that people
15 are going to say, well, something is wrong there. But lots
16 of things that don't quite make it for human food become
17 eligible for animal feed. And an example there might be
18 Salmonella in a product. So if that product has Salmonella
19 on the human side, it could actually be diverted to animal
20 feed, if there was a heat kill step in it, before it went
21 into the animal feed product.

22 So -- and those are diversion requests. And so
23 we look at the safety of that. But, you know, you have to
24 look at these rules in two ways, that things -- if there is
25 a problem with the animal feed, it probably needs to go to

1 -- out of commerce. It is probably not going to correct.

2 But you might have a problem and you could say,
3 well, the aflatoxin was above 20 parts per million, and it
4 was going to be a pet food. Well, you could easily feed
5 that to swine. You might be able to feed that to swine,
6 because there are higher levels allowed for swine. So
7 there are some corrective actions that you need to
8 consider.

9 (Slide)

10 Verification. You know, if you are out there
11 verifying something and you don't validate that it is
12 working or you don't calibrate the instruments and you
13 don't keep records, you are not doing verification. So
14 just enough said, really. You just need to make sure
15 whatever you are doing is working.

16 (Slide)

17 Recordkeeping. Written food safety plan.
18 Everything else is obvious up there. If you think it might
19 need a record, it needs a record. And if you think, well,
20 I am not sure this needs a record, it needs a record. And
21 if you say, I don't think this needs a record, it probably
22 needs a record.

23 (Laughter)

24 This is a record-heavy rule for documentation.

25 (Slide)

1 Recall plan. Over the years we have seen some
2 animal feed firms do incredibly bad jobs of recalling
3 product. They are their own worse enemy. They recall one
4 week's product, and then two weeks later they recall a
5 month's worth of product, and the week after that they
6 recall six months's worth of product. So they have managed
7 to keep their name in the news as not doing a good job for
8 a month. You know, you need a plan that is going to
9 address the contamination, and you recall it immediately.

10 MR. : (Away from microphone) Dan, I
11 don't know if I can ask a question, but on the recall, in a
12 good many cases, when mixing animal feed, the feed is
13 delivered and consumed by the animals before we would ever
14 have a report back on just if in fact --- ingredients. And
15 I am talking about the --- is what I am talking about ---

16 DR. McCHESNEY: Right.

17 MR. RIEBLI: How do you put a recall plan in
18 place for something that no longer exists?

19 DR. McCHESNEY: Good question. Can we get your
20 name and organization? I was asked to --

21 MR. RIEBLI: Arnie Riebli, Dairymen's Feed.

22 DR. McCHESNEY: Yes. That is a good one.
23 Especially when a lot of animal feed in bulk moves very
24 rapidly, you know, if it is out there and we can recall it,
25 we will recall it. You know, I think the answer to that is

1 | you obviously cannot recall something that has been
2 | consumed. But this rule moves towards prevention. And I
3 | would say recall product that has been consumed because it
4 | had an issue with it is sort of where we are today. And we
5 | are being sort of reactive to problems. And that is our
6 | mode today. And that is what this is trying to change.

7 | We would hope that we would see less of those
8 | incidents where it would be caught before it left the
9 | facility. And that is the whole basis, really, for
10 | preventive controls, trying to catch things before they
11 | leave the facility. You know, obviously you cannot recall
12 | something that has been consumed. So that is the best I
13 | can do on that one. But the answer is we hope to see fewer
14 | preventive controls out there. Or we hope to see
15 | preventive controls out there. That will keep that from
16 | happening.

17 | (Slide)

18 | Other preventive controls. What we have talked
19 | here up until this point are things in the FSMA legislation
20 | that Congress said you have to do. We have already heard -
21 | - you know, if you look at the legislation, it said you
22 | have to do a variety of things. And it just pretty much
23 | lists them out in the legislation. And that is what we
24 | have just talked about, the preventive controls, the hazard
25 | analysis, preventive control plans, verification,

1 recordkeeping, all of that that was in that little circle
2 that said you have to do these things.

3 So, you know, comments from comments, while we
4 are willing to listen to everybody's comments saying, well,
5 we shouldn't have to do this, you can make that comment,
6 but we don't have a lot of wiggle room. We are going to
7 have to do it, because we were told to do it under the
8 legislation.

9 What we are going to talk about now are things
10 that in the legislation said these would be good things to
11 do to make sure the other system is working. What we told
12 you to do is working. They are currently not in the
13 preventive control rules, either for human food or animal
14 feed. And so we are definitely looking for your input on
15 these. And Roberta touched a little bit on these.

16 (Slide)

17 Supplier approval and verification program. We
18 surely believe that is helpful. And it can assure that
19 suppliers are complying and practicing adequate control
20 hazards. So supplier verification FDA thinks is an
21 important thing. But it is not in the preventive control
22 rules. So the obvious question is, should FDA require
23 supplier approval and verification, and when and how is a
24 supplier approval and verification program the appropriate
25 control measure?

1 Now, having said that and asked that question, we
2 have another rule out there for foreign supplier
3 verification program, where we are saying if you are a
4 foreign supplier, you have to have a verification program
5 in it and it has to do this. This rule says you don't have
6 to have a verification program. So those two have to be
7 married up. There is just no way around that. And here I
8 guess a couple days ago I spoke to an international group,
9 who view this as really a trade issue.

10 So I think while we are looking for your -- the
11 response you want to give us on this, and if your response
12 is I don't think we should have a verification program, we
13 appreciate that response, but it is probably not going to
14 be what is going to happen.

15 So I will point you to the foreign supplier
16 verification program where there are two options. There is
17 option one and option two. I would point you towards those
18 two options and suggest that one might read those and give
19 us your comments on which one of those should be utilized
20 in this rule or in the human preventive control rule.
21 Because there is going to be some type of supplier
22 verification, because foreign supplier verification is not
23 going away. And this cannot go forward, really, without
24 some type of supplier verification.

25 And it is, I think, an important thing,

1 especially in our global economy of knowing your supply
2 chain. And over the years we have had more issues I would
3 say related to the supply chain than we have an actual
4 finished product. The problem with the finished product
5 has occurred somewhere down on the supply chain that has
6 not been caught.

7 (Slide)

8 We are also seeking comments on complaints of
9 finished product testing. Review of complaints, pretty
10 simple. Should a review of complaints be part of the
11 preventive controls? I think the prevailing comments so
12 far have been no. Sort of in between. My example I have
13 said is that, you know, over the years we have gotten lots
14 of comments from consumers where they say I don't like the
15 color of your product, I don't like the way it is packaged,
16 I don't like the name of your product, your product is too
17 expensive. Obviously, if you have a complaint filed that
18 those are in, those have nothing to do with preventive
19 controls. So that is not very useful.

20 If, on the other hand, the comment in your
21 complaint file is that when I opened the bag, it smelled
22 funny, or it looked moldy, or it had insects in it, those
23 are useful comments, you know, something that would inform
24 inspectors going in there to say, well, what are you doing,
25 this is a problem, how do you control this, are you having

1 other problems, can I see other records. So it is surely a
2 way to start a conversation. Whether it should be required
3 as a preventive control, we are looking for comments on
4 that.

5 (Slide)

6 Finished product testing. Another one not in
7 there, but, you know, how do you know your system is
8 working, if you are not testing some product? So the
9 question is, should finished product testing be required?
10 And if so, when and what is appropriate and an appropriate
11 frequency. Now, again, you may say here, well, finished
12 product testing might be appropriate in the pet food
13 industry. And it might be less appropriate in the food
14 animal industry, where you are ultimately going to go dump
15 this on the ground to feed the animal or dump it in a
16 trough.

17 So, you know, we are looking for questions, when
18 is this appropriate. And this is, I think, one of the
19 areas where we could end up ultimately being different on
20 than a human food rule. And we might actually have
21 differences within the animal feed industry here on this
22 one. So we are looking for, you know, should it be
23 required; if so, when should it be required and how often
24 should it be required.

25 The other thing I will say here is lots of folks

1 | in the feed industry are currently testing for Salmonella.
2 | But if in fact you are testing for Salmonella on the animal
3 | side or on the human side, you need to have some idea of
4 | what the prevalence of Salmonella is in the product.
5 | Because we see lots of people say, well, I am testing for
6 | Salmonella. And you say, how many samples are you taking?
7 | They say, oh, I take two samples a week. How many? Two.
8 | Well, unless you have like 100 percent contamination with
9 | Salmonella, you are not going to detect that, or you are
10 | just going to be -- you know, you might as well go buy a
11 | lottery ticket. You are going to have as good a luck at
12 | detecting it.

13 | So if you are going to be looking for something
14 | and testing end product, you need to have an appropriate,
15 | statistically appropriate, plan and that will find what you
16 | are looking for. And, you know, I think in that area I
17 | would suggest you can get fairly statistically complex.
18 | And I will put a plug in for if you are in this area, you
19 | might want to talk to the folks at U.C. Davis on how one
20 | might establish statistically appropriate sampling plans
21 | and actually what they mean, because there are a variety of
22 | ways you can do that. But you have to understand what you
23 | are looking for, what the results mean, and how to go about
24 | it. So there is a lot of merit in sampling, but you need
25 | to be doing it correctly.

1 (Slide)
2 Environmental testing, same thing. Should
3 environmental testing be required for animal feed products?
4 Again, you know, we have the pet food industry. We have
5 the other animal food industry. Is it appropriate for both
6 of those? Is it appropriate only for one of those or is it
7 appropriate for neither of those? And if so, if it is
8 appropriate, how and when? Should we be using indicator
9 organisms or the actual organisms concerned? Lots of
10 questions in there. It is all laid out in preamble what we
11 are looking for. But again, an area I would point you to
12 to comment on, because they are currently not in the rule.
13 FDA's view is they are important; they probably should be
14 in the rule. But because they weren't required, they are
15 out.

16 So we are really looking for comments on these
17 areas, whether they should be in or out, how often they
18 should be applied.

19 (Slide)
20 Exemptions. The next four slides -- and then we
21 will be done here, four or five, and then we will be done -
22 - talk about exemptions. Qualified facilities are very
23 small businesses. We have three proposed definitions, less
24 than 500,000, less than a million, less than 2.5 million.
25 These are sales into the animal feed industry to animal

1 feed. So if, in fact, you are a human food manufacturer
2 and you have a co-product of waste stream product going to
3 animal feed, it is only the dollar value -- it is the
4 dollar value of what goes to animal feed that determines
5 whether you are a very small business. Okay?

6 So on the -- when we were coming in here there
7 was a person mentioned. One of the companies they are with
8 is largely a fertilizer producer, but they also produce
9 phosphate for the animal feed industry. And so the other
10 part of that business that would be counted to determine
11 whether you were a very small business would be the part of
12 the phosphates being sold to animal feed. So that is an
13 important point to remember, because you could be a very
14 large company and still be a very small business on the
15 animal feed side.

16 The second, what follows the or under there, it
17 says, "Food sales averaging less than \$500,000 per year
18 during the last three years in sales to qualified end users
19 must exceed sales to others." That is kind of the fancy
20 way of saying the Tester Amendment. And I think, at least
21 in this rule, the fact that we are starting at the very
22 small business being \$500,000 pretty much means that that
23 exemption is not useful to you. You could use it. You
24 could say I want to do that. And it also has a mileage
25 limitation on it. But the fact is I think the \$500,000

1 qualified facility for very small business is a better,
2 well, it is a better exemption. You could say I want to
3 use the Tester one, but the other one, because the way this
4 would end up structured, is I think a better one.

5 And the goal of the Tester Amendment was really
6 to protect small business or help small business not be
7 overcome by some of the burden of this rule. And so, you
8 know, I think by being a very small facility, you sort of
9 get out of that without some of the other requirements that
10 Tester has.

11 The Tester Amendment, I think, is probably more
12 important on the human side of the house, more applicable.
13 On the animal food side, I had personally never actually
14 saw it being a very useful exemption, just because of the
15 structure of the industry.

16 (Slide)

17 If you make canned pet food, the microbiological
18 hazards are not subject to this. Warehouses, there are
19 some exemptions for warehouses. And there are tons of
20 nuances. So if you are a warehouse person, come talk to us,
21 because there are lots of -- we have got lots of questions
22 on warehousing.

23 (Slide)

24 Grain elevators. I know that could be an issue
25 out here, looking at an exemption for grain elevators. In

1 both rules we thought we excluded grain elevators. And
2 then when the grain elevator people looked at this and come
3 back and say, here is what you have, we realized, because
4 of the way we described holding, that grain elevators were
5 not exempt. We are working on fixing that exemption,
6 because neither on the human side or the animal side can we
7 actually document a problem related to grain elevators.

8 (Slide)

9 Again, an exemption here that really applies to
10 more the human side of the house, I think it is not one
11 that you would see used on the animal side. It talks about
12 fruits and vegetables.

13 (Slide)

14 Farm related, again, it mirrors the human rule.
15 This might impact some of the animal feed business, but
16 probably not. Again, this is more human food -- it is in
17 the human food rule, and so we mirrored it here.

18 (Slide)

19 Effective dates. So when are you going to have
20 to comply with this? Well, the rule becomes effective 60
21 days after the final rule is published. Right now, the
22 final rule is scheduled for -- the latest it can be
23 published, I think it is June 30, 2015. So, you know,
24 sometime 60 days after that it would become effective. We
25 realize that when something becomes effective, you cannot

1 put it in place immediately. So we are looking at staged
2 implementation.

3 If you are a small business, meaning fewer than
4 500 people, we are proposing two years to implement it
5 after the final rule.

6 MR. ATKINS: (Away from microphone) Excuse me.
7 That 500 people, would that be 500 employees specifically
8 working the food industry or is that total?

9 DR. McCHESNEY: Total for your company, all
10 your -- it is total for all your facilities. So if you are
11 somebody like Land O'Lakes -- can we have your name?

12 MR. ATKINS: (Away from microphone) Kirk
13 Atkins ---

14 DR. McCHESNEY: That was Kirk Atkins?

15 MR. ATKINS: (Away from microphone) Yes.

16 DR. McCHESNEY: It is the total number of people
17 in your company. So the Land O'Lakes facility was -- there
18 are multiple Land O'Lakes facilities. If you put all the
19 people in all those facilities and you total up the number,
20 that is what you get.

21 So for the feed industry, most of the businesses
22 would likely be small businesses. Now, the question that
23 you may have been asking but maybe didn't ask is, does that
24 count, if you are big -- if you are a human food company,
25 is it just -- is it fewer than 500 people that just work on

1 the feed side of the house? And we are working on the
2 answer to that question.

3 You know, I think the answer to that is going to
4 be probably so, because that is consistent with how we look
5 at the dollar value. But that is a question we have been
6 asked, and we will -- if that is what you were really
7 asking, we will just put that in our notebook and work on
8 the answer.

9 So if you are a very small business, you have
10 three years to implement this. If you are somebody else,
11 like you are the Land O'Lakes, the Cargills, the Nestle's
12 of the world, you have one year.

13 To go back on this, we have already gotten
14 comments or we will get comments potentially from the feed
15 industry that they would like to see an extension to these
16 compliance dates. In the proposal that we are at least
17 hearing, and then we will submit comments at, is that they
18 would like to propose that GMPs come in on the schedule of
19 one year, two years, or three years, and then have the
20 preventive control part come in at two years, three years,
21 and four years.

22 And that is probably a reasonable comment.
23 Because as, you know, Roberta said, we chose to do GMP rule
24 making under the preventive control rule. And on the human
25 side, we are asking the human food companies to implement

1 really the preventive control part of this, where we do the
2 hazard analysis on, because they have been doing GMPs for
3 years. The feed industry implementing really two -- one
4 rule, but they are two separate parts of something. And
5 so, you know, we are surely looking for comments, is that
6 appropriate and how does that impact the safety of the
7 product.

8 How to comply, you can look to
9 www.regulations.gov or you can to the FSMA website, comment
10 there. It will take you to the same one. The comment
11 period for this rule of preventive control for animal feed
12 is 120 days. It ends February 26. We have already gotten
13 an extension for comments, or we have already gotten a
14 comment requesting an extension for a long time. To be
15 quite truthful, we are under a court order to close the
16 comment period by March 30, 2014. So the likelihood of an
17 extension is we appreciate you asking, but, you know, any
18 more than a couple weeks the answer is probably going to
19 be, is going to be no, because we would hate to see our
20 commissioner in leg band requiring her to stay home or
21 something. You know, we would hate to have her held in
22 contempt or have Mike Taylor held in contempt. So while we
23 understand your pain that there is a lot to comment on, we
24 just don't have a lot of wiggle room here.

25 And that said, and I will sort of end on that,

1 the area to understand is that -- we talked about
2 preventive controls where the legislation says you have to
3 do a certain number of things. And we talked about under
4 preventive controls here are the areas where they were
5 optional, so these supplier verification, end product
6 testing, complaints, environmental.

7 We have a fair amount of latitude to make changes
8 in those areas that were optional. And we have a fair
9 amount of latitude to make changes in the GMPs. We don't
10 have a lot of latitude to make changes in what was required
11 by the legislation, which was the preventive control piece.
12 So when you are doing your comments, you know, think about
13 that. While we appreciate your comments, and, as Roberta
14 said, you know, one person's comment can make a change, can
15 make a difference, realize where we have our ability to
16 make the largest changes in other areas. While we, you
17 know, may say, well, that is a good comment, we may have
18 really some very limited amount of wiggle room.

19 Information is on the FSMA website.

20 Thank you.

21 MS. BARRETT: All right. Dan, thank you so much
22 for that statement.

23 (Applause)

24 MS. BARRETT: A couple things before we break.

25 One is we will pick up the economic analysis when we come

1 back. And we will cover that presentation at 10:35. The
2 other is there is an opportunity to give public comment.
3 What that is is usually a short statement, a couple of
4 minutes. Some folks have registered in advance to do that.
5 If you have not registered to advance but you would like
6 to, there is the opportunity, we do have a little time to
7 do that. You would see Aleta during the break and let her
8 know. And then we will call you up.

9 The other thing I wanted to mention, and I don't
10 know if -- let me go ahead and say it. Dan had talked
11 about some errors of the proposed rule where the language
12 is actually not in the current proposal, but there are
13 significant issues like supplier verification. And I know
14 that we have heard from many stakeholders that if we were
15 to introduce provisions in those areas into a final rule,
16 they would like an opportunity to comment on that language
17 prior to going final. And that is something that we are
18 looking into within the agency of how to provide that
19 opportunity. So I think there will be more on that. I
20 just want to let you know we are certainly looking into how
21 to facilitate something like that.

22 So let's go ahead and break. And again, if we
23 could come back at 10:35.

24 (Whereupon, a brief recess was taken.)

25 MS. BARRETT: All right. Thanks again for

1 everyone taking a seat. Please do not worry about the
2 time. I will assure you that we will be on track.

3 And with that, we will have Dan McChesney come up
4 to give a second presentation this morning and this time
5 again on the economic analysis of the proposed rule.

6 *Analysis of Economic Impacts*

7 *by Dan McChesney, Ph.D., Director, Office of Surveillance and Compliance,*

8 *FDA Center for Veterinary Medicine*

9 DR. McCHESNEY: It is good to be back.

10 (Laughter)

11 We are going to talk about the economics of this
12 with a few disclaimers. The economic folks that did this
13 were not able to be here. And then the person who did this
14 at the last two meetings is not here. But he at least had
15 some economic training in like a previous life. I have had
16 no economic training here.

17 But having said that, over the years I have
18 worked on a lot of rules. And we have looked at the
19 economics of this. And it is very challenging on the
20 animal feed side of the house to come up with a correct
21 number and what that number should be, because a lot -- and
22 especially in this area. We are kind of breaking all new
23 ground. We don't really know who is doing what. You know,
24 on the human side you know everyone is doing GMPs. So you
25 can take that equation out. And you know there is some

1 level of HACCP across the industry. So you have a better
2 idea.

3 Here, we have no idea. And to make it even a
4 little more challenging because of some administrative
5 procedures, our economists have a limited number of folks
6 they can contact to ask about what are you doing and how
7 much does it cost you and things like that. So it is a
8 reasonably informed number that we will get to, but, you
9 know, I think we are obviously looking for comments on how
10 close did we get here and do we think it is right.

11 To do any rule, you have to have proposed
12 benefits to the rule, otherwise you have this huge cost and
13 no benefit. It is a little hard to get it through our OMB
14 folks.

15 (Slide)

16 What do we say the benefits of this proposed rule
17 are? Well, we reduced the risk of serious illness and
18 death to animals, reduced adverse health and risk to
19 humans, and also reduce the risk of humans consuming food
20 provided from animals that might be contaminated. So those
21 are the benefits. And we tried to figure out how to put a
22 dollar value on that, which in truth is a very difficult
23 thing.

24 (Slide)

25 Fewer illness is one. If we have preventive

1 controls, we would like to see fewer illnesses. We do not
2 expect these preventive control rules to prevent all
3 illnesses. We expect some reduction in illnesses. How do
4 you measure reduction? How do you measure preventive
5 controls, if they are working? You know, if you don't see
6 illnesses, is that just dumb luck or is it the program you
7 put in place? You know, you never know if something
8 doesn't occur, if you have done something positive to
9 prevent it or you just got luck. So it is a difficult
10 thing to measure. And because it is difficult to measure,
11 it is difficult to put a dollar value on it. Having said
12 that, the economists did that.

13 (Slide)

14 We already talked about the very small business
15 exemptions, and this what is up here, based on size of
16 qualified facilities. So the economic analysis was done
17 excluding firms that had less than \$500,000 in sales, less
18 than \$1 million in sales, and less than \$2.5 million in
19 sales. What you see when you do this is that you reduce
20 the number of firms fairly dramatically as you go from
21 \$500,000 to \$2.5 million who would be covered under this
22 rule. However, you don't reduce the amount of food covered
23 by very much at all.

24 So I think at \$2.5 million the amount of food
25 that still remains covered by the GMPs and preventive

1 control rules, written preventive control rules, is
2 something around 96 percent, just slightly over 96 percent.
3 The number of firms, though, has reduce by about 40
4 percent. So that is a big cost reduction with very little
5 impact on the safety of the feed supply. And the numbers,
6 you get, at different dollar values, you get similar
7 results on the human side, although the dollar values are
8 different.

9 (Slide)

10 What do we say the total annualized domestic cost
11 is, if in fact we applied it to all the firms who had less
12 than -- all the firms that had more than \$500,000 is sales?
13 Well, we said it was \$95 million. And you can see from \$1
14 million it is \$89,000. And then you can see quite a drop
15 when you get to \$2.5 million, which just tells you that the
16 animal feed industry is kind of skewed towards the higher
17 end of this. So firms in the animal feed side of the
18 house, firms make a lot of feed, a lot of dollar value of
19 feed.

20 And, you know, the truth of the matter here is it
21 says the average annualized cost is \$17,700 per facility.
22 So you can tell how many facilities are in each of these
23 just by doing the math. You just divide whatever the
24 number is there in the millions of dollars by \$17,700. And
25 that is the number of firms that are included.

1 And the \$17,700 is not a this is what is going to
2 cost my firm to do this. This is just a mathematically
3 derived thing that said here is what the total cost is and
4 here is how many firms that covered. And we divided those
5 two numbers and got a dollar value. So there are firms out
6 there who it would likely cost significantly more than
7 \$17,000 and firms out there hopefully that will cost less
8 than \$17,000.

9 Where does this compare with the human preventive
10 control rule? The human preventive control rule, if my
11 memory serves me right, is somewhere between \$14,000 and
12 \$18,000 per facility. So it is in the same ballpark.

13 There is a question. So we need your name and
14 your firm.

15 MR. DELANEY: (Away from microphone) Sean
16 Delaney, DVM Consulting. How did you address the
17 variability of things like finished product testing?
18 Because I could imagine with your comment about, you know,
19 statistics and --- random sampling and nutrient analysis,
20 that number could be deceiving --- for a very small firm.

21 DR. McCHESNEY: Right. These --

22 MS. SINDELAR: Can you recite what he said or it
23 won't be in the record.

24 DR. McCHESNEY: Okay. Let's see if I can get
25 this correctly. I think the essence of the question was,

1 | how did you take into account things like finished product
2 | testing and a variety of other things that could be a much
3 | greater cost for a very small firm and for a very large
4 | firm. Is that -- okay.

5 | The things we talked about today, they sort of
6 | have a -- I can't really speak for the economists, but I
7 | can tell you that what we spoke about today, supply
8 | verification, end product testing, environmental testing,
9 | are not included in the second analysis, because they were
10 | not in the proposed rule. There is a discussion in the
11 | rule about what the value of these might be, but it is not
12 | in these dollar figures. And that is one of the reasons
13 | that, you know -- well, obviously, one of the other
14 | reasons, we will be looking for comments. As Kari said, if
15 | we repropose something, we put those back in. Obviously
16 | the cost, there is going to be an additional cost.

17 | I will tell you that when you look those supply
18 | verification, end product testing, environmental testing,
19 | they made a much bigger impact on reducing the cost of the
20 | human preventive control rule than they did on the animal
21 | preventive control rule, because I think the thought was
22 | less firms would, if it was required, less firms would be
23 | required to do it. So it is non-equal impact.

24 | (Slide)

25 | Total annualized cost for foreign firms. Again,

1 | it is stated on the slide. Again, it is just the cost
2 | times how many firms there are. The total cost, if we just
3 | pick the \$2.5 million, the total annualized cost for
4 | foreign firms is \$22 million. If we go back to domestic,
5 | it is \$65 million. So the proposed total cost at at least
6 | \$2.5 million is \$87 million for this rule. That is how one
7 | would look at that.

8 | (Slide)

9 | What are the major costs for facilities? The
10 | major cost, as it is listed on the slide, hazard analysis,
11 | process controls, various sanitation controls, monitoring
12 | verification, corrective action, basically everything
13 | associated with the prevent control part.

14 | (Slide)

15 | Cost per facilities. An interesting slide that I
16 | will admit, as not an economist, I don't quite understand.
17 | But I can tell you how they got the numbers. It would seem
18 | that the cost under each option should go down as the
19 | exemption goes up. But in fact, it goes the other way.
20 | And it is just -- the numbers there to the right, the \$2.7
21 | million, \$3.6 million, and \$6.8 million, are just a
22 | reflection of the \$1,800 per facility to do what is listed
23 | under there in those four bullets: Learn about the rule,
24 | attest to qualified status, label changes, additional labor
25 | for GMPs, times the number of firms that are in the less

1 | than \$500,000 category, less than \$1 million category, and
2 | less than \$2.5 million category. And this is all -- these
3 | numbers are still all rolled up in the total cost of the
4 | rule.

5 | (Slide)

6 | Domestic facility count, just how many facilities
7 | do we think are out there? This proved to be a whole lot
8 | more challenging than one might have thought. We have been
9 | looking -- we have been doing BSE inspections for years.
10 | We had one number there. We looked at the firms
11 | registered under the Bioterrorism Act previous to, I guess,
12 | January of this year. And we had another number. We
13 | looked at Dun & Bradstreet, and we had another number. And
14 | in many cases, the numbers were not even close.

15 | So we ended up using -- but luckily, people had
16 | to re-register. And we cleaned up the registration list.
17 | And it turns out the re-registered list and the Dun &
18 | Bradstreet numbers are pretty close. And so what we think,
19 | at least these cost estimates are based on \$6,300 domestic
20 | facilities that are just involved in the feed business. So
21 | that is pet food, animal feed, the rendering industry,
22 | things like that.

23 | We think there is another 6,800 domestic
24 | facilities that handle foods for both human and animals.
25 | And they are taken account of in the cost of the human

1 preventive control rule. So we don't count -- we tried not
2 to count them twice. And so these are folks who would be
3 vitamin manufacturers or mineral manufacturers that are
4 doing things like that. So we tried not to do those. So
5 their cost is captured somewhere else.

6 (Slide)

7 We have already talked about this, qualified
8 facilities. What do we think are under -- if you are under
9 \$500,000, under \$1 million, under \$2.5 million, what does
10 that look like in firm-wise? So it looks like what it says
11 up there, \$1,500, \$2,000, and \$3,800 below it annually.
12 And if we go back here, I will say -- so that is based on
13 6,300 domestic firms. And in a minute we will go to
14 foreign firms, which are 1,800. So it is 6,300 plus 1,800,
15 whatever that is. 8,100? So if you take 8,100 and then
16 you take away 3,800 facilities, that is how many would be
17 covered under this total rule, if you use \$2.5 million.

18 (Slide)

19 Again, this talks about how we got the foreign
20 firms, FDA's food registration. And as the bottom points
21 out, we think there is another 3,900 foreign food
22 facilities registered as handling food for both human and
23 animals. If my recollection serves me correctly, there is
24 something around 90,000 human food firms, in that range.
25 And if you look at foreign food facilities, there are many

1 more foreign facilities than domestic facilities producing
2 food coming into the U.S. So the numbers are, I think,
3 even more skewed on the human side.

4 (Slide)

5 An example of an estimated cost to a facility.
6 Just how do we get these numbers? If you are an economist,
7 it is pretty straightforward stuff. It is basically how
8 many hours is it going to take to do something and what is
9 the cost per hour for the person to do that. Annual cost
10 to update hazard analysis, 50 percent of initial analysis.
11 Remember who said you have to go back and do a reanalysis
12 at least every three years, so there are some proportional
13 costs there.

14 (Slide)

15 Primary data sources. Again, a lot of estimates
16 going on here. ERG was the main contractor. They did the
17 cost analysis. We used the FDA registration database for
18 how many firms are out there. We talked to CVM experts and
19 saying, what are firms doing? How are they doing it? Are
20 they doing GMPs now? What are they doing out there? Food
21 GMP survey, there was a -- the legislation required that we
22 do a survey of food firms to see what the cost might be and
23 determine what might be qualified as very small firms. So
24 that data has gone into this.

25 (Slide)

1 Industry experts. We did talk to some industry
2 experts, although we were limited to a number of those who
3 we could talk to. We looked at the Bureau of Labor
4 statistics for occupational employment statistics, how many
5 people are involved. In an economic sense, it is facility
6 size. Honestly, the size of the facility impacts the cost.

7 (Slide)

8 Areas of comments. Public data on current
9 industry practices. You know, if you look at the economic
10 piece of this, it is predicated on our best guess of what
11 you were doing. If you are doing more, we would like to
12 know that. If you are doing less, I am sure you will tell
13 us because would make the rule more expensive and, you
14 know, just say, well, we didn't quite get it right.

15 What does the cost to comply with the rule based
16 on an individual basis? Remember, we said the average was
17 \$17,700 per firm. That is just an average. You know, if
18 you are a member in the firm that is going to be subject,
19 say, to this room, is it going to cost \$17,000 to do this
20 or is it going to cost \$34,000? We truly don't know. We
21 are just estimating it. So we would really like to hear
22 from you of what is the real outcome of this. What are you
23 -- And really based on what are you doing.

24 Then I think -- let me go back to that one. To
25 go back to what are you doing, I didn't say this in the

1 other presentation, but I will say it here, in that if you
2 look at -- if you are firm that this is going to apply to
3 or a facility that it is applying to, and you look at the
4 rule and you read it and say, my gosh, there are a lot of
5 things I have to do here, I have to do GMPs, I have to do
6 these preventive controls. How am I ever going to do that?
7 That's a lot of work.

8 And I agree. If you looked at it that way, this
9 looks pretty overpowering. Now, my advice to others has
10 been -- and I will say it again -- that I wouldn't look at
11 it that way. I would look at it as what am I doing now.
12 You know, I may not be doing GMPs. I may have SOPs in
13 place. I am surely controlling my hazards. At least I am
14 hoping are controlling your hazards, because otherwise you
15 would not be in business very long.

16 So ask yourself: What am I doing now? Try to
17 get some documentation for that or surely just sit down and
18 write it out and say, how do what I am doing now, how does
19 that go with what they are asking me to do in this rule?
20 And I think if you take that approach, you will find out
21 you are a whole lot closer to already being in compliance
22 with most of the things we are asking here, with the
23 exception of records. You are probably not keeping the
24 records we want you to keep, but I think what you are
25 probably doing is probably a lot more in line with what we

1 are asking be done than just a kind of like a cold hearing
2 of this is. SO I would ask you to look at it in that sense
3 of what am I doing now, and how does that fit with what
4 they are asking me, as opposed to, oh, I have to start all
5 over.

6 (Slide)

7 Whether you believe the rule accomplished the
8 intended risk reduction, will we move the safety of animal
9 feed forward, you know, by if we do what is in this rule.
10 If the firms do what is in this rule, will that move it
11 forward? And obviously we want to hear which option to use
12 for qualified facilities. Qualified facilities are really
13 very small businesses. Do you want \$500,000, \$1 million,
14 or \$2.5 million? You know, I think it depends where you
15 might sit. I assume if you are industry, you are sort of
16 sitting at the \$2.5 million. You know, if a person who
17 thinks, well, more firms ought to be covered because they
18 contribute to things, then you probably want the lower
19 dollar value. We would like that input.

20 (Slide)

21 And you can comment on this piece, also, at the
22 same site.

23 And I will stop there.

24 MS. BARRETT: All right. Well, Dan, thank you.

25 (Applause)

1 MS. BARRETT: I really do want to thank Dan for
2 stepping up to provide that presentation. And I want to
3 also offer, since we don't have an economist here today,
4 that if someone came, and that was important to you and you
5 really wanted to discussing it or if you had a specific
6 question on the economic analysis, if you want to see me,
7 you know, as we end today, I can give you my card. And I
8 can get you in touch with our economist. I am happy to do
9 that. And we can facilitate your questions that way.

10 We are now going to spend a little time talking
11 about technical assistance and the implementation of this
12 rule and how we are preparing for that. Cathie Marshall
13 will talk. Cathie is a regulatory policy analyst in the
14 Office of the Center Director for the center for Veterinary
15 Medicine. And she has been very engaged on this proposed
16 rule.

17 So Cathie, if you could come up?

18 ***Technical Assistance***

19 ***By Cathie Marshall, DVM, MBA, Regulatory Policy Analyst,***

20 ***Office of the Center Director,***

21 ***FDA Center for Veterinary Medicine***

22 DR. MARSHALL: Good morning. And I also wanted
23 to thank you all for attending this public meeting. I am
24 glad you are here. And this meeting, this particular
25 public meeting, is special for me, because prior to joining

1 FDA I was a veterinarian in private practice here in
2 Sacramento for 12 years. And prior to Sacramento, I was in
3 Southern California for a few years. So it is good to be
4 back in California.

5 When I joined VA, I actually joined Detroit
6 District Office as an investigator. So you are thinking:
7 You left California and went to Detroit? Really? You went
8 from where you could watch the 49ers on Sunday to the
9 Detroit Lions, who at the time were like maybe winning one
10 or two games a year? But the folks in Detroit District are
11 wonderful, and I learned a lot in the field.

12 And then I went to CVM. I joined compliance
13 about 2006. And six or seven months later, melamine
14 happened. And I was very involved in melamine. And it was
15 very difficult. And I was very empathetic with all the
16 veterinarians out there who were trying to treat these
17 animals having no idea what was going on or what they could
18 do to save these animals.

19 So right now, I am a regulatory policy analyst.
20 And I help develop regulations, guidance, policies. And
21 all my background in the field and as a veterinarian and in
22 compliance has helped me with this position. I have spent
23 a lot of hours on this regulation along with a lot of other
24 folks. So it is very important.

25 So now that you have heard a little background on

1 the proposed rule, and it published October 29, so I am
2 sure some of you have spent a little time reading the rule
3 -- let me rephrase that. I think some of you might have
4 spent a lot of time reading the rule. It is very long. It
5 is shorter than the human food rule, as the preamble is.
6 It is a very long and complex piece of regulation. And so
7 we know there are going to be a lot of questions on it.
8 The industry is going to need a lot of help understanding
9 and implementing this regulation.

10 (Slide)

11 So what are we going to do as an agency to help
12 industry? And there are several areas we are working on to
13 assist industry, guidance documents, of course. There are
14 going to be a lot of different guidance documents we are
15 developing, as Roberta mentioned. If you know FDA, you
16 know we like our guidance documents. Is there anybody here
17 who has n ever seen a guidance document from FDA?

18 (No response)

19 (Slide)

20 DR. MARSHALL: So we are all well aware of those.
21 And obviously we cannot issue those guidance documents
22 until we have the final rule, but we are working hand in
23 hand with the final rule to get those. So they will come
24 out fairly soon after the final rule issues.

25 Then second, the agency is developing the

1 information center. There is an e-mail address, that I
2 will have in a minute for you, where people can e-mail us
3 with questions that they have on either the final rule or
4 on how they can implement certain provisions of the rule.
5 And that is up and running now. We have received some
6 questions on the rule. Obviously we can't give you
7 information on the final rule because we don't have it yet.

8 And then lastly, FDA has partnered with academia,
9 researchers, a lot of state regulatory officials, and
10 formed a partnership known as the Food Safety Preventive
11 Controls Alliance. Catchy name. I wonder where they got
12 that. And so we call it just the Alliance for short. And
13 the alliance has been instrumental in developing training
14 materials and helping disseminate information to industry.

15 (Slide)

16 And so, as I said, it is a public/private
17 alliance. And really, it is geared to assist small, very
18 small, medium-sized businesses, because they are most
19 likely the ones needing the assistance and implementing
20 this regulation. We assume that the larger businesses are
21 going to have the training staff, the technical folks, to
22 go through this rule and train their staff on how to
23 comply. Certainly that does not mean that large business
24 cannot participate in the training.

25 (Slide)

1 So some of the goals of this alliance are to
2 develop a standardized, uniform training and education
3 program. We want to make sure everybody is on the same
4 page, that we are all getting the same training, so
5 everybody is getting the same information. And then the
6 alliance will also, as I said, help conduct outreach to
7 industry either through association meetings or some other
8 type of venues, and again, especially the small, very small
9 businesses, who need to be more informed on what is going
10 on with prevent controls.

11 (Slide)

12 Right now the alliance are developing a variety
13 of training materials and delivery mechanisms. They are
14 developing information to train the trainers, the people
15 that will be taking this information back to the facility
16 to train their staff. And we are looking at probably a
17 combination of web-based or on-hands training and person-
18 type training. And this training will also be used for
19 state and federal regulators or foreign regulators. So
20 again, everybody is receiving the same type of training,
21 the same information.

22 And once a person has completed this training,
23 they will have the qualifications to be considered a
24 qualified individual. If you have looked at the regulation
25 proposed rule, you know that qualified individuals are

1 instrumental in the preventive controls rule. They are
2 required to perform or oversee the performance of much of
3 the food safety plan. So once they receive this training,
4 they will be considered a qualified individual. And the
5 information we have from these trainings will also be
6 incorporated in some of the guidance documents.

7 (Slide)

8 And again, this alliance is hoping to be a source
9 of information for industry, any science, technical
10 elements relevant to the preventive controls rule.

11 (Slide)

12 Our target audience is personnel at these animal
13 food facilities that manufacture, process, pack, or hold
14 animal food. And of course this is human food, also. This
15 alliance is doing both. We are not focusing on animal food
16 here. And again, the emphasis on the small businesses.

17 Our target audience is also regulatory personnel,
18 state, foreign, federal regulators, and then anyone else
19 who is interested, consultants, large businesses, if they
20 want to undertake this education. So anybody who wants to
21 learn about preventive controls will be welcome.

22 (Slide)

23 So prior to the final rule, the first step is
24 developing these training materials. And the alliance is
25 working on developing the training for a variety of food

1 products, animal, human, and a variety of food types and
2 processes. They are also developing protocols, one, to
3 ensure that it is uniform and cost effective training.
4 Obviously, if we are targeting small and very small
5 businesses, we do not want this to be extremely expensive
6 or small businesses are not going to be able to partake in
7 this training.

8 Developing protocols to maintain records of
9 certificates of people who have taken the training and then
10 protocols to list the courses being provided by the
11 trainers, so people are aware of what is available.

12 And then once the final rule publishes, many
13 folks in FDA are going to get a good night's sleep. But we
14 are also going to provide that training. So you all didn't
15 see that coming. Right?

16 So the first thing is train the trainer.
17 Obviously the people that are going to be doing the
18 training need to understand what is going on. So that is
19 the first step, get these people trained, and then provide
20 the basic training and preventive controls, again, either
21 internet or instructor-led training. And then continuing
22 to provide outreach even after the final rule to industry,
23 answering questions.

24 So for the guidance documents, information is
25 being collected already for these guidance documents. Work

1 has begun. And again, we cannot publish or issues these
2 guidances until we have a final rule. But we are working
3 on certain aspects that we know are going to be in the
4 final regulations. So certainly we can start these
5 guidance documents.

6 And we have a large team of subject matter
7 experts. They have technical experience in food safety,
8 food supply systems. They have experience in other
9 regulations, like HACCP regulations, on the human food
10 side. They have experience in developing regulations and
11 guidances and policies. And many of these folks are the
12 same folks that helped write these regulations. So there
13 is a lot of people participating and working on these
14 guidance documents.

15 And in May of 2011, we did publish a notice. I
16 don't know if any of you remember that notice or commented
17 on that notice, where we asked the public, and specifically
18 industry, to submit information about preventive controls
19 and other practices they are doing now in their food
20 facilities to address these hazards. And we did receive
21 quite a few comments. And those will be useful when we
22 work on these guidances.

23 Now that docket has closed, so you cannot comment
24 on that anymore. But certainly if you have information on,
25 hey, I would like to see this in a guidance or this would

1 be helpful, then submit it as a comment to this proposed
2 rule, because we certainly want to see it.

3 (Slide)

4 And as far as guidances, we will have general
5 guidances basically on how to conduct a hazard analysis and
6 preventive controls, validation in the preventive controls.
7 That seems to be a particularly confusing area. I know
8 when I did HACCP inspections, validation was always a
9 little tough for some folks.

10 And then the current good manufacturing
11 practices, as has been said, CGMPs are now going to be
12 required for the animal food facilities, whereas before
13 they were just covered under the act. We didn't have
14 specific regulations for CGMPs. So we see the need for
15 guidance out there. We don't know what these final CGMPs
16 are going to look like. I will tell you, when we were
17 writing these regulations, we actually did start a little
18 bit different GMPs than the human food GMPs, but it is very
19 difficult working on them to justify, you know, why you
20 need a certain GMP for human food, but not animal food.
21 And so if you do have comments on these GMPs, we would like
22 to see comments not just, oh, we don't think this applies
23 to animal food, but why you don't think it applies to
24 animal food and the justification, and any scientific
25 information for that. If you want to submit technical

1 articles or research papers, you can do that in your
2 comment.

3 And then, of course, small entity compliance
4 guide, we are required to do that by law. And there is a
5 website. I think there is a handout out there that has the
6 website where you can submit comments

7 (Slide)

8 And then as far as more specific guidance
9 documents, we are looking at several types of feed to use
10 as examples of creating a food safety plan from kind of
11 start to finish or hazard analysis, preventive controls, et
12 cetera. So with dry feed, such as dairy feed or chicken
13 feed, liquid supplements, minerals, vitamins, animal co-
14 products, such as meat byproducts or poultry byproducts.
15 We have plant co-products, such as soy and bean meal, corn
16 distillers grain, another one, wheat bran. And then pet
17 food, of course pet food, and there are a lot of varieties
18 of pet food. You have your dry or extruded pet food,
19 canned, semi-moist, raw pet food. And as Dan mentioned,
20 the canned pet foods will be exempt from the
21 microbiological hazards from preventive controls, but they
22 will have to address other hazards and certainly the CGMPs.
23 So these are still in the works. We don't know
24 quite how they are going to appear at this time, but these
25 are the areas we are looking at.

1 (Slide)

2 This is the e-mail address for the information
3 center, if you want to jot that down. I will give you a
4 minute. It is up and running. We had a few questions.
5 And once the rule becomes final, we expect that this will
6 be used much more. And this is obviously for the animal
7 food rule, since it is animalpc@fda.hhs.gov. I will give
8 everybody a minute.

9 (Pause)

10 So this is all well and good. It sounds
11 wonderful. But where are we? So our progress to date, the
12 alliance has made a lot of progress on the human food
13 training materials. And since these regulations are very
14 similar, the training materials for the human food can be
15 used for the animal food. We will take those templates.
16 We will modify them to fit the animal food, where there are
17 some differences, and use them to develop the training
18 there.

19 At this point, I don't know if there is going to
20 be combined training, separate training. I don't know if
21 that is all worked out yet. Especially for facilities that
22 handled both human and animal food, that would make sense.
23 And the training is going to be basically how to develop
24 and implement a food safety plan.

25 And for the animal food rule what they are

1 | looking at right now is, using as an example, pig starter
2 | pellets. So you are a facility that manufactures pig
3 | starter pellets. How are you going to set up your food
4 | safety plan? What are your hazards? What are your prevent
5 | controls? How are you going to monitor it, et cetera, et
6 | cetera. So that is what the training would be.

7 | (Slide)

8 | These are the websites again. I can give
9 | handouts on those. And then for those of you who like
10 | Twitter, you can follow us at FDAfood or
11 | FDAanimalhealth#FSMAmatters.

12 | Any questions? Yes, sir.

13 | MR. YABROFF: Will the --

14 | DR. MARSHALL: I need your name and --

15 | MR. YABROFF: Rick Yabroff, J.D. Heiskell. Will
16 | the preventive controls identified in the guidance end up
17 | being required and subject to enforcement?

18 | DR. MARSHALL: The question was, will the FDA
19 | preventive controls guidance be subject to enforcement?
20 | Guidance documents are our current thinking on a topic and
21 | the recommendations we make. They are not enforceable. If
22 | you have other ways you can comply with a regulation,
23 | that's fine, as long as it does meet the requirements of
24 | the regulation.

25 | MS. BARRETT: All right. Well, Cathie, thank

1 | you.

2 | (Applause)

3 | MS. BARRETT: All right. And as mentioned, we
4 | will have time for Q&A as we get a little further in the
5 | agenda.

6 | At this point, I would typically show a second
7 | video, but I am going to not do that, because we did not
8 | have great success with the first one, and also, frankly,
9 | because of the audience. The video that we have is about
10 | the rule-making process. And it is helpful. And we use it
11 | mainly for audiences that are foreign audiences that are
12 | not familiar with the U.S. system. And I think all of you
13 | have that background and that experience.

14 | And also, as we have gone through the
15 | presentations, you have basically seen the steps that we
16 | cover, that legislation is passed, that there are then
17 | regulations developed to implement that legislation, that
18 | they are put out in proposed form, that there is an
19 | opportunity and a transparent process for anyone to
20 | comment, a final rule, that there are staggered compliance
21 | dates depending on size and maybe other criteria, training,
22 | the continued outreach, technical assistance, et cetera.

23 | So we have really basically gone through the
24 | steps that the video covers. But if it is something of
25 | interest to you, it is also available on our FSMA website.

1 And again, if you are working with others who may not be as
2 familiar, you might recommend the video to them.

3 And with that, we are really going to know switch
4 gears and get into the public comment session. As
5 mentioned, we do have a few individuals who have signed up
6 in advance to offer their public comment. And we are going
7 to talk about that process. Roberta and Dan and Cathie are
8 our panel, who will listen to the public comment. It
9 usually is listening, but if they do have a clarifying
10 question for the person offering comment, they are welcome
11 to ask that. And at the end of the comment session, if
12 they have any reflection on what they heard, they are also
13 certainly welcome to offer that, too.

14 For the individuals who are giving public
15 comment, typically in our room we would have a microphone,
16 and they would come p to the microphone in the aisle and
17 speak. We don't have that setup. So I am going to ask if
18 they would actually come up here to the podium to give
19 their remarks. I know not everyone is comfortable with
20 that, but, really, this is a very friendly audience. So
21 please do feel comfortable. It also will assist our
22 transcriber in ensuring that what you say is in fact
23 captured for the public record, which is very important.

24 So I will call you up by name. And if, again,
25 you could give your comments. We had asked that they be

1 | limited to three minutes. Truthfully, if they are three to
2 | five, that is perfectly fine. When we get to five minutes,
3 | if you have not wrapped up, I will ask you to wrap up. I
4 | will also remind you, too, to submit your written comments
5 | to the docket.

6 | ***Public Comments***

7 | MS. BARRETT: Okay. So with that, we will go
8 | ahead and get started. And our first speaker is Jenna
9 | Areias. Am I getting that right, Jenna? California
10 | Department of Food and Agriculture. And again, as you
11 | begin your presentation, if you will say your name -- you
12 | may correct me -- and your organization and then offer your
13 | comments.

14 | So thank you. Please come on up.

15 | MS. AREIAS: (Away from microphone)

16 | MS. SINDELAR: You need to speak into a
17 | microphone. None of this will get recorded.

18 | MS. BARRETT: Okay. That's okay. I don't think
19 | she needed it. She is just saying that instead she has an
20 | alternate who will speak on her behalf. And Rick is coming
21 | up.

22 | And Rick, if you will introduce yourself. Thank
23 | you.

24 | MR. JENSEN: Hi. My name is Rick Jensen. I am
25 | the Director for the Division of Inspection Services at the

1 California Department of Food and Agriculture. And I am
2 always happy to be put on the spot for Jenna.

3 So I will try to keep my comments very brief. I
4 think in general, number one, we want to thank you for
5 coming out and doing this. Our early reviews of the rule,
6 the program has done a very good job of tearing it apart as
7 best they can. And they are all kind of assigned a certain
8 section, and they are working on that. But I think at a
9 very high level the areas that were brought up as some of
10 the most critical areas, we are finding those, as well that
11 I think we believe need additional comments.

12 Just as an example is just the whole looking at
13 pet foods and dry grained animal food for livestock, for
14 human food. Those create some areas which really push us
15 into an area. But we really encourage you to be looking at
16 risk and practices associated with those risks. But that
17 can work both ways, as well, as you are looking at small
18 firms or other moderate-sized firms that perhaps are
19 manufacturing products that have high risk. So that can
20 work both ways.

21 Secondly, I want to talk a little bit about the
22 program and about our relationship and partnership with
23 the feed industry in California. It was about 2005, we
24 worked together with the industry to develop what we call
25 SAFE, which is our acronym. We are not as good as the

1 federal government at acronyms, but it means Safe Animal
2 Feed Education Program.

3 And that program is voluntary. We work with feed
4 manufacturers to install or implement HACCP even all the
5 way to good manufacturing practices. It is an educational
6 piece. We conduct audits and reviews of the work that they
7 do and just have been benchmarking and trying to move this
8 industry, move these folks forward to what we ended up
9 getting with FSMA. So I think California has been, you
10 know, looking ahead. And I mean California in entirety,
11 particularly the industry.

12 And to that end, a couple of years ago, year and
13 a half ago, we undertook a very strategic process with the
14 industry and with our advisory board to start positioning
15 our program and to put us into a place to help carry out
16 the implementation and education and perhaps the
17 enforcement or verification of compliance with the rule
18 here in the State of California. We currently have a
19 relationship and contracts with FDA to do certain work.
20 And we are prepared. We are getting more prepared. And we
21 really are very interested in carrying that piece out.

22 MS. WAGNER: (Away from microphone) Rick, what
23 percentage of the industry participates in the SAFE
24 Program?

25 MR. JENSEN: Ask me a technical thing?

1 Jenna?

2 MS AREIAS: What was the question?

3 MR. JENSEN: What percentage of the industry
4 participates in these SAFE program?

5 MS. SINDELAR: I am sorry. If it doesn't come
6 through the mic, we don't capture it. And the real value
7 in transcribing this meeting is to get these very important
8 comments and questions on the record. So it is not just
9 this audience, but the rest of the United States and the
10 world, actually, to hear these concerns. So I would really
11 appreciate it. I know you are speaking on behalf of Jenna,
12 but these types of comments need to go into the record and
13 clearly articulated for everyone's benefit.

14 MR. JENSEN: So the question is, what percentage
15 of California feed manufacturers take part in our SAFE
16 program? Sixty percent.

17 MS. WAGNER: (Away from microphone)

18 MS. SINDELAR: He needs to repeat that because it
19 needs to go into the -- you have to do the same thing.

20 MR. JENSEN: So the question is, is the program
21 documented? Well, yes. All of our audits and inspections
22 are very well documented. They are conducted just as if an
23 audit occurs.

24 MS. WAGNER: (Away from microphone)

25 MR. JENSEN: And the framework for the program, I

1 believe on our website there is an overview of what the
2 SAFE program is. And that -- would you like the website
3 for that?

4 MS. WAGNER: (Away from microphone) That would
5 be great. I have your card.

6 MR. JENSEN: Okay. Any other questions?

7 (No response)

8 MR. JENSEN: Thank you.

9 MS. BARRETT: Thank you. All right. Thank you
10 very much.

11 And again, I apologize. It is a little more
12 challenging since we don't have a microphone in the room.
13 And we will work on that, too, as we do Q&A. We will make
14 sure that we capture the questions for the transcript.

15 So our second speaker is **Mollie Morrissette**, the
16 Association for Truth and Food Labeling. Mollie, if you
17 will come up?

18 **MS. MORRISSETTE**: Well, I am so thrilled to be
19 here. This is new to me, but I have gotten inundated. I
20 have immersed myself into the regulatory process, because I
21 care about animals, in particular pets. And that is my
22 focus. So I will try to do this as quickly as possible.

23 Thank you for the opportunity to comment on the
24 current proposed rule for animal food related to the
25 implementation of the Food Safety Modernization Act. My

1 name is Mollie Morrissette. I am the co-founder of the
2 Association for Truth in Pet Food, the largest pet food
3 consumer stakeholder group in the United States,
4 representing over \$82 million U.S. households that share
5 their homes with pets. We provide a national forum to
6 discuss current and emerging issues and information
7 pertaining to all aspects of pet food safety.

8 While the efforts of government regulatory
9 agencies are often credited with making the U.S. food
10 supply among the safest in the world, I think we can all
11 agree the system needs improvement, in particular
12 concerning the animal food sector. It is critical that
13 decision-makers understand the relationship between animal
14 health and food safety, which is a complex association
15 requiring careful evaluation of many variables.

16 The Centers for Disease Control estimates that
17 each year in the United States 48 million people get sick,
18 128,000 are hospitalized, and 3,000 die each year from
19 food-borne diseases, a health burden that is largely
20 preventable. Yet, unlike statistics kept for human food-
21 borne illness, the burden of pet food-borne illness remains
22 unknown. But what we do know is that nearly three quarters
23 of all U.S. households share their homes with pets. And
24 Americans are expected to spend \$21.6 billion on pet food
25 this year alone.

1 Because so many Americans share their homes with
2 pets, it is important to be cognizant of the frequent
3 confluence of human and animal food ingredients and the
4 risks they pose to not only pets, but to the people living
5 with them. As an example, the *Journal of Pediatrics*
6 published that human Salmonella infections were linked to
7 contaminated dry dog food, dry dog and dry cat food,
8 confirming that exposure to tainted pet food also made
9 humans sick, including many children.

10 I haven't done this before. Whew. Take a deep
11 breath, drink of water.

12 Okay. So six years following the largest pet
13 food recall in U.S. history when more than 8,500 cats and
14 dogs died from eating contaminated pet food, Congress
15 enacted the Food Safety Modernization Act, the most
16 comprehensive reform of our nation's food safety laws and
17 regulations in more than 70 years.

18 Am I standing behind the camera? Oh, no. You
19 didn't get me? Just kidding.

20 MS. SINDELAR: Start again.

21 MS. MORRISSETTE: Yes. I will start over. Hello
22 everybody.

23 (Laughter)

24 That single event, the intentional adulteration
25 of a pet food ingredient exposed deep flaws in our food

1 safety system. When the same contaminant was found in
2 infant formula, it heightened public and media scrutiny of
3 the entire food safety system and left Americans with a
4 chilling thought, that the very same toxin that killed
5 thousands of pets could kill them, as well as their
6 children, triggering Americans to question the safety of
7 all food, not just pet food.

8 Although the proposed rule is similar to FDA's
9 current good manufacturing practice and hazard analysis in
10 risk-based preventive controls for human food, there are
11 significant differences in the way the two rules address
12 relevant hazards. Although there are many reasons why it
13 would of benefit to have separate rules to address the
14 unique needs of animals, the intention of FSMA was to
15 assure the safety of all food, whether for man or other
16 animals.

17 However, it appears as if the agency has -- maybe
18 I shouldn't read that part.

19 However, it appears as if the agency has
20 selectively modified the applicability of those laws to
21 adopt the needs and requirements of the industry that makes
22 the food rather than to the animals who consume it, who
23 will consume it.

24 MS. : (Away from microphone)

25 MS. MORRISSETTE: Okay. I just -- I feel so bad.

1 MS. : (Away from microphone) No, no.
2 You are fine.

3 MS. MORRISSETTE: It seems that way. Maybe --
4 oh, okay. Only a little bit left.

5 Domestic animals are perhaps the most vulnerable
6 population of --- beings. Under the law, they are the
7 property of humans and enjoy extremely limited protection
8 from abuse and neglect. Animals receive legal protections
9 only when human and animal interests align. And when they
10 conflict, laws are sculpted to further man's interest at
11 the sacrifice of the well-being of animals. In this
12 context, it is important to remember that FSMA out of the
13 death of thousands of American pets, a result of the
14 intentional adulteration of their food.

15 The tragic irony is that while those pets brought
16 about the most significant advance in food safety in 70
17 years has largely been forgotten as the proposed for animal
18 food applies completely separate and disparate standards
19 for food for animals. Like the millions of Americans who
20 share their homes from pets, our hope for a better future,
21 where we can be assured of the safety of pet food, rests
22 entirely with FSMA.

23 However, that dream will never be realized if
24 significant changes are not made to the proposed rule. We
25 ask you consider modifying the proposals in favor of

1 implementing this landmark law to bring about the change
2 necessary to modernize the food safety system not just for
3 man but for the pets we share our homes with and our lives
4 with.

5 Thanks for considering my comments. And that's
6 it. Any questions, anybody?

7 (Laughter)

8 I need a drink of water.

9 MS. BARRETT: Thank you very, very much.

10 MS. MORRISSETTE: You're welcome.

11 MS. BARRETT: I really do appreciate it. And for
12 any of you who have stood at a podium, it is not always
13 easy. So you did a great job. It is a perspective that is
14 valued, and we are glad that you could offer remarks today.

15 So we have one additional individual giving
16 comment. It is Leah Wilkinson, American Feed Industry
17 Association.

18 Leah?

19 MS. WILKINSON: Good morning. I am Leah
20 Wilkinson, Director of Ingredients, Pet Food, and State
21 Affairs for the American Feed Industry Association, based
22 out of Arlington, Virginia. Thank you to FDA for holding
23 these public meetings to be able to provide the information
24 to the industry, but also to gather the public feedback.

25 A little bit about AFIA. We were founded in 1909

1 to promote feed safety and uniformity of feed law and
2 regulations across the United States. For 104 years AFI
3 has promoted feed safety and in 2004 developed our hallmark
4 Safe Feed/Safe Food Certification Program, which is now
5 administered by the Safe Quality Food Institute or better
6 known as SQF.

7 We have had 104 year unbroken chain of meetings
8 with the Association of American Feed Control officials
9 representing the state and federal feed regulators. AFIA's
10 safe feed programs, which we now have several, are based
11 off of the same concept in this rule of the hazard
12 identification and prevent controls approach, which were
13 designed for the liquid and dry feed industries pet food,
14 ingredient industries. And we also have a separate program
15 that is developed to help ingredient companies export to
16 the European Union. There are more than 500 facilities
17 certified in these various programs.

18 AFIA has more than 550 members, who manufacture
19 or distribute feed ingredients, pet food, equipment, and
20 provide services to the industry. These feed products
21 include both commercial and non-commercial products and
22 operations. AFIA members make 78 percent of the 165
23 million tons of ready-to-eat animal feed manufactured in
24 the United States. They make over 75 percent of the non-
25 grain ingredients used in feed in the United States. This

1 industry also imports a number of products, including
2 vitamins, as only one vitamin is manufactured in the United
3 States.

4 Obviously, the rules drafted by the Food and Drug
5 Administration will have a major impact on the feed
6 industry and AFIA's membership. We have provided
7 accolades -- we have a few accolades and concerns, some of
8 which you have heard from Richard Sellers at previous
9 public meetings, but just wanted to be repeated today.

10 One of our principal concerns is the lack of time
11 to review the rules. And we clearly understand the
12 constraints FDA is under with respect to the court ordered
13 timeline for the Food Safety Modernization Act rule-
14 makings. This industry has never seen the magnitude of
15 such rule-making with such a major impact on all the feed
16 industry. We are expected to review, answer questions --
17 and somebody said 60-some different questions -- plus
18 provide comments in just over four months. In fact, except
19 for medicated feed, this industry has never seen good
20 manufacturing practices regulations. And FDA is proposing
21 to implement those, as well as the hazard analysis and
22 risk-based preventive controls, in a very short time frame.

23 We think FDA and the industry will have their
24 hands quite full for the next decade in implementing these
25 rules. We hope that FDA prevails on their appeal of this

1 unrealistic court-ordered timeline. However, we do note
2 that FDA almost took a year to draft the rules, plus the
3 back and forth of a couple years with the Office of
4 Management and Budget, and now expects the feed industry to
5 review those and provide our comments in some 14 weeks.

6 As has been noted, AFIA, as well as our partners,
7 the National Grain and Feed Association, the National
8 Renderers Association, and the Pet Food Institute, has
9 asked for an extension to March 31 and beyond, if FDA
10 prevails in its appeal. While it is only four additional
11 weeks, they would be much appreciated additional weeks, if
12 granted, especially if we can have a decision from FDA
13 sooner rather than later to figure into our review
14 timeline.

15 We will continue to provide you comments, even
16 past that deadline, because we know we won't get through it
17 all, in order to give you the best feedback from the
18 industry, while you take your 15 months that you have to
19 finalize the rules.

20 One other major concern is the link between human
21 food and animal food in the proposed rules that is common
22 throughout the preamble in the rules. We believe the
23 spirit and in some places the language of FSMA clearly
24 divides the two, but there are many references in human
25 food rules, to the human food rules, and the actual

1 requirements lead us to believe FDA clearly did not
2 understand the congressional intent to more separate human
3 food and animal food requirements.

4 We hope that you will maybe hear from members of
5 Congress during the rule-making to make that a little bit
6 more clear. And that in the 15 months that you do have, we
7 will obviously be providing comments on those areas. And
8 during those 15 months, you can realign the final rule to
9 more publish whether a different risk between human food
10 and animal feed and what the differences are.

11 A few accolades. On first blush, this is a
12 really very well constructed set of rules. Realizing the
13 devil is in the details and being an organization that
14 strongly supported the congressional intent embody in FSMA,
15 we believe these rules provide a clear guidepost for
16 compliance that can coalesce the feed industry around a
17 basic feed safety standard. It is embodied in one of
18 AFIA's Safe Feed/Safe Food Program and one most of our
19 members recognize.

20 What does concern us is the likelihood that the
21 majority of the feed mills, not the majority of feed
22 tonnage, will have much difficulty in understanding and
23 crafting programs and fully implementing these rules. AFIA
24 has been across the country over the last couple of years
25 presenting on this. And it is the small and the medium

1 operations that we think will have the most difficulty
2 understanding these concepts.

3 One, two, and three years may not be sufficient
4 time to fully implement the final rules, but we will
5 provide written comments to FDA with data and rationale, if
6 we think additional time is needed.

7 We did make a request at an earlier public
8 meeting for FDA to consider a phase-in of the GMP rules for
9 one year and then add the preventive control rules on a two
10 or three-year, four-year phase-in after that. We are
11 pleased to hear that FDA is considering that request. And
12 we will continue to comment on that. The phase-in would
13 allow for much better compliance as the industry adjusts to
14 a new set of rules.

15 Finally, we are pleased to be involved in the
16 Animal Feed Steering Committee of the Food Safety
17 Preventive Controls Alliance. We look forward to assisting
18 FDA in developing the guidance documents that were outlined
19 earlier, including the dry feed, liquid feed, pet food,
20 animal product ingredients, plant product ingredients, and
21 where we cover the vitamin, mineral, and micro-ingredient
22 products. We also offer up our industry members, staff,
23 facilities for training for either the states or FDA, as we
24 work to implement this.

25 I also just want to make everyone aware we do

1 have a webinar on this topic that is being sponsored by
2 feed stuffs next Wednesday, December 11. It is free. You
3 can sign up on the feed stuffs website or you can ask me
4 for more information.

5 Again, thank you for the opportunity to provide
6 the comments.

7 (Applause)

8 MS. BARRETT: Thank you. I was remiss earlier.
9 We do actually have one additional individual who had
10 wanted to give a public comment. If you would like to come
11 up?

12 And is there anyone else who had wanted to give
13 public comment? Okay. We will come to you second.

14 And please come on up. If you will say your name
15 and again your organization.

16 MS. COVELLO: My name is Kelly Covello. I am
17 President of Almond Hullers and Processors Association, the
18 almond industry's trade association. Our members represent
19 90 percent of the almond industry based on tonnage. I do
20 want to thank FDA for the opportunity to comment on the
21 proposed preventive controls for animal food today.

22 By way of background, 100 percent of the U.S.
23 commercial almond production is in California. The
24 production also represents over 80 percent of the global
25 supply of almonds. There are no other states in the U.S.

1 that produce almonds and almond byproducts, such as almond
2 hulls which are used for livestock feed. Almond hulls can
3 be used for a variety of livestock but are predominantly
4 used in dairy cattle rations.

5 Almond hulls have provided the California dairy
6 industry, who has struggled immensely over the last several
7 years, with local, high-quality feed alternatives to other
8 high-priced feed commodities. Almond hulls are typically
9 sold in California and some neighboring states, but are not
10 shipped on a regular basis to all parts of the U.S.

11 FDA has stressed that preventative controls for
12 the animal food rule need to be based on risk, and we agree
13 with this approach. However, as proposed, there are
14 requirements that are not risk based and are overly
15 prescriptive. It is imperative that requirements of the
16 animal feed rule are consistent and not in contradiction
17 with requirements of the food rules. And that is for both
18 the preventative control rules for human food and produce
19 safety for firms that are subject to both sets of the
20 rules.

21 We appreciate FDA's efforts in performing the
22 draft qualitative risk assessment, which defines the risk
23 of activity animal food combinations for activities
24 conducted at a farm mix-type facility, which is one of the
25 supporting documents to this rule. And many activities

1 taking place at the almond huller/sheller fall within the
2 activities defined as a low-risk activity animal food
3 combination.

4 Some of the low-risk designations differ between
5 rules. For example, fumigation treatments are considered a
6 low-risk food activity combination for human food, but
7 appear not to be considered a low-risk food activity
8 combination for animals. If the rules were to remain
9 unchanged, it is possible and a huller/sheller would have
10 more stringent requirements for their byproducts than for
11 the food products that they are processing. There really
12 is no science to support that the risk profile for this
13 activity is different for animals or for humans.

14 Additionally, the low-risk activity designation
15 relies on the definition of on-farm or farm mix-type
16 facility. A huller/sheller may meet either of those
17 definitions or neither of those definitions, but the fact
18 that performing these low-risk activities on other growers'
19 raw Ag commodities triggers preventative controls,
20 unnecessarily subjects operations to requirements that are
21 not risk based.

22 Due to the expense of operating a huller/sheller,
23 it is rare that a huller/sheller will hull and shell their
24 own product, as it is economically unfeasible. The most
25 important fact is the risk profile of the activities

1 performed at the facility, and the risk to the animal
2 consuming almond hulls does not change regardless of which
3 growers' raw Ag commodities the low-risk activity they are
4 being performed on.

5 Within the draft risk assessment, FDA
6 acknowledges that there is very limited, and in some cases
7 no, data on serious adverse health consequences or death in
8 humans or animals from hazards associated with
9 manufacturing, processing, packing, or holding activities
10 conducted on animal foods, making risk-based requirement
11 virtually impossible for some scenarios. Due to the
12 significantly different risk profiles for pet food and
13 livestock feed, we recommend FDA consider requirements for
14 pet food and livestock feed in separate rules or possibly
15 separate guidance documents. Due to the diversity of feed
16 products, and in particular for plant byproducts, such as
17 almond hulls, industry-specific guidance for GMPs that can
18 be modified as technology and data become available makes
19 better sense than overly prescriptive, hard to change
20 regulations.

21 In the preamble of the risk assessment, FDA
22 identified that one critical gap is the lack of federal
23 feed regulations, such as good manufacturing practices, to
24 provide baseline requirements for producing safe animal
25 food, including pet food, animal feed, and raw materials

1 and ingredients.

2 And while federal regulations may be lacking, it
3 is imperative that FDA recognize state regulations that are
4 currently in place. California Department of Food and
5 Agriculture has commercial feed rules and regulations in
6 place, under which almonds, almond hulls and shells are
7 regulation. And the current regulations have provided
8 adequate safeguards for livestock, which have provided
9 sufficient safety oversight for decades.

10 As the proposed produce safety rule for human
11 food is currently drafted, a state or a foreign government
12 may request a variance from one or more requirements of the
13 produce safety rule where the state determines that their
14 procedures, processes, and practices under the variance are
15 reasonably likely to provide the same level of public
16 health protection as the requirements of the rule. To my
17 knowledge, this type of variance is not available for the
18 animal feed regulations. And it is our opinion that it
19 should be, since there are state feed regulation inspection
20 programs and feed safety programs in place that may provide
21 the same level of protection.

22 We also encourage FDA to allow variance requests
23 by other U.S. governments, trade associations, and
24 universities who may be funding research to develop
25 improved guidelines or practices or may already have

1 | programs in place.

2 | As the rule is currently drafted, the requirement
3 | to register under Section 415 automatically subjects the
4 | facility to requirements of preventative controls rule for
5 | feed, and for human food for that matter. The requirement
6 | to register under Section 415 is related to security risks,
7 | as detailed in the Bioterrorism Act. Security risk is not
8 | equivalent or indicative to a food safety risk or a feed
9 | safety risk. There isn't a risk-based rationale why the
10 | registration requirement should trigger requirements for
11 | the animal preventative controls rule, animal feed
12 | preventative controls rule.

13 | Lastly, I would like to request that FDA consider
14 | a second comment period before going to the final rule.
15 | You posed over 60 questions to industry, and we would like
16 | the opportunity to be able to see how you interpret our
17 | comments to those questions before going to a final rule.

18 | Overall, we are supportive of FDA's efforts to
19 | update the food and feed safety systems in the U.S. But we
20 | just think it is imperative that any new requirements are
21 | risk based and feasible for industry.

22 | So thank you.

23 | (Applause)

24 | MS. BARRETT: Thank you very much.

25 | DR. McCHESNEY: Kari, I have a question for the

1 last speaker.

2 MS. BARRETT: Yes. Actually, before you sit
3 down, come on over here. This is where it gets to be --
4 Dan is going to ask a clarifying question. And I will
5 bring you back the microphone.

6 DR. McCHESNEY: I think this was an issue that
7 was raised two or three years ago, when I came out and
8 spoke in Modesto at this issue about almond hullers. When
9 you send us your comments, could you sort of send a
10 description of that industry?

11 MS. COVELLO: Yes.

12 DR. McCHESNEY: Because I think it is one of
13 these ones we don't necessarily understand. And it is --

14 MS. COVELLO: We get caught in the cross hairs of
15 all of them.

16 DR. McCHESNEY: Right. So I think it would be
17 very helpful to understand how this works and who is doing
18 what to, I think, really understand whether they should be
19 included or not included.

20 DR. MARSHALL: And you were talking about the
21 qualitative risk assessment. And I would like you submit
22 comments to that specifically, too, on those issues. I
23 have not checked it recently, so maybe you have, but --

24 MS. COVELLO: (Away from microphone) I have not
25 yet, but I will ---

1 DR. MARSHALL: Okay. Thank you.

2 MS. WAGNER: I actually just have a comment. You
3 mentioned that registration relative to the BT Act should
4 not trigger preventive controls. Unfortunately, that is
5 what the legislation did.

6 MS. COVELLO: (Away from microphone) I know
7 that ---

8 MS. BARRETT: I guess if you have any remark on
9 that --

10 MS. COVELLO: Yes. Our comments to the food
11 regulations go through the whole process of how almond
12 hulling and shelling works, and even harvesting, because we
13 harvest to the orchard floor, which is different. Coming
14 into the huller/sheller, we get a lot of dirt and dust and
15 orchard debris. So we are kind of a unique situation.

16 The other thing I would put out there is if FDA
17 is unfamiliar with this industry and would like to learn
18 about it, we did help co-host a tour for the folks writing
19 the preventative controls for human foods. And I would
20 love to facilitate a tour like that, if necessary.

21 MS. BARRETT: Thank you again.

22 And we do have one other individual, who will
23 give public comment.

24 MR. ZANOBINI: Chris Zanobini, California Grain
25 and Feed Association. And I serve as the Chief Executive

1 Officer.

2 A little background on the California Grain and
3 Feed Association. It dates back to 1924. We have
4 approximately 250 members. And we, too, represent about 90
5 percent of the feed processing sold here in the State of
6 California.

7 I would like to echo the comments, not having to
8 repeat everything that was said previously, but I would
9 like to echo the comments made by Rick Jensen at CDFA, by
10 Leah at AFIA, and then the comments that Kelly just made,
11 and reiterate some of the facts and some of our initial
12 concerns that we have with the feed rule.

13 As was stated earlier, there are separate
14 proposals for human and animal feed. We do believe that
15 maybe it should be taken a bit further in that there should
16 be a further separation between animal feed and pet food,
17 particularly when you look at from a risk level.

18 Here in California we have, as was stated
19 earlier, we have our SAFE Program and a close relationship
20 between industry and the Department of Food and Agriculture
21 with our feed inspection program. We do have an industry
22 board. They do advise the Secretary on issues related to
23 feed. And we do have a program that has been stated before
24 that does have regulations and currently inspections and
25 really does verification over the feed that is sold here in

1 California. And we really hope that FDA will look to this
2 program as a regulatory partner for inspection and
3 verification within the rule.

4 Another concern that we have is that California
5 is the only state that has two FDA districts within it. We
6 have a northern and a southern California district. And we
7 need to make sure that those districts are very coordinated
8 when looking at this rule here particularly in our state.

9 When looking a firm size, we question whether it
10 should be risk based, not just based on dollar amount or
11 number of employees.

12 We also have concerns about nutrient
13 inadequacies, making sure that those are risk based. And
14 then also, we need a clear definition of moisture, again
15 based on risk.

16 Furthermore, we want to make sure that we do not
17 leave items to guidance documents that really should be
18 spelled out in the rule. And again, we want to make sure
19 that these questions that we have been asked to comment on
20 are put back out for public comment. So we do have the
21 opportunity to review them and respond appropriately.

22 Lastly is on environmental monitoring. We want
23 to make sure that is based on risk factors for the
24 validation and verification process. Again, we have had
25 very little time at this point to really dig in and review

1 the rule. We are currently in that process. We do believe
2 we need more time to comment, to further understand. It
3 is, like Leah had indicated, this is a new frontier for the
4 feed industry. And I think it is going to take quite a bit
5 of time to get people together, get people's comments
6 together, and really get a true understanding of what it is
7 going to take to implement this.

8 Thank you.

9 (Applause)

10 MS. BARRETT: Is just really want to thank
11 everyone who did offer their public comment. It is very
12 much appreciated, to hear your remarks and to capture them
13 in the transcript, as Aleta mentioned, for the benefit of
14 really all audiences.

15 A couple of things. What I would like to do is,
16 as mentioned, we will have the panel in just a moment
17 reflect on some of what they heard. But I want to put a
18 suggestion out and see how you respond to this. What I
19 would like to suggest is we will hear for a few minutes
20 from the panel. Then we will do the Q&A and allow -- you
21 know, we could allow up to an hour, if you like. And then
22 Roberta has an implementation presentation that she will
23 give. And I think that is probably about 15 minutes.

24 So what I would like to suggest is that we just
25 move through the agenda and not break for lunch, because

1 the thought of sending you all out and getting you through
2 security, I just -- I don't see how that would be very
3 efficient use of your time. And I think if we all went to
4 the little café, we would overwhelm them.

5 So that is what I would like to do. So just a
6 general nod, if people are comfortable with that approach.
7 If, during the Q&A, you would like to get a cup of coffee
8 or water or something, feel free to do that. Use the
9 restroom. I know we are holding you a little bit longer.
10 But I do feel like that would probably be the best use of
11 time.

12 So with that, I am going to go ahead and pass the
13 microphone to the panel for their reflections on the public
14 comment.

15 *Response from Panelists*

16 MS. WAGNER: Okay. First I want to emphasize
17 that I am on the human feed side of the house. But, you
18 know, what we heard over and over again is that you need
19 more time to comments. This rule is, between the GMPs and
20 the preventive controls, is really new, and you need some
21 time to take it -- you know, take some time to look over
22 that. I heard several times that pet food and animal feed
23 may be different enough to support different standards. I
24 am not sure that we would go as far as different rules, but
25 perhaps some different standards relative to both the

1 preventive control side and the GMP side.

2 And then I just -- I heard loud and clear, and we
3 have heard this in other venues -- I principally did the
4 public meetings for the human preventive controls and the
5 import rules -- but consistency in implementation of the
6 rule. So you are talking about California and two
7 districts. We have twenty district offices across the
8 country. So we absolutely recognize the need to implement
9 these rules in a consistent manner. And that is going to
10 take a lot of training and education and outreach to your
11 regulators, not just the regulators in FDA, but the
12 regulators in the states, as well.

13 MS. BARRETT: And that was Roberta --

14 MS. WAGNER: Roberta Wagner.

15 DR. McCHESNEY: I would just add onto what
16 Roberta said, that I guess the other piece that we are
17 surely interested in is hearing more about unique
18 situations. We heard about the almond growers today, which
19 seems to me to be a fairly unique business. And I heard
20 that last time, two years ago when I was out here. It is
21 kind of a unique business, unique in the way that you could
22 actually end up being subject to preventive controls for
23 animal feed and not to human food, which is a little
24 strange.

25 So, you know, we obviously need to look at that.

1 And we have heard in other meetings where there are similar
2 situations, especially in warehousing and some other types
3 of things.

4 And then other thing I think we heard is that the
5 way we classify nutrient imbalances is surely giving people
6 lots of heartburn. We are really talking about doing that
7 as a process control. We don't expect you to test
8 everything, nor do we expect FDA to be the nutritionist.
9 You know, we just expect the feed mills to make the feed as
10 is stated, so it is really a process in mixing control,
11 that type of issue. And I think that hopefully we will
12 move it to clarify it that way.

13 With that, I will turn it over to Cathie.

14 DR. MARSHALL: Going back to the topic on having
15 either probably not different rules, but different
16 requirements for animal feed, livestock feed, and pet food,
17 one of the big issues there is define pet. I think we all
18 conceptually in our head know what a pet is, but legally
19 that is going to be tough part. How do we define that? Is
20 a horse, is livestock under USA? But how many people
21 consider their horses pets or companion animals? And that
22 is something that we need feedback on. If you want
23 different requirements, then we need to know how legally we
24 can define and make a clear divide between what is
25 livestock or food-producing animals and what is a pet. So

1 that is an important area, too, that we need information
2 on.

3 DR. McCHESNEY: That is a really important issue,
4 because we have struggled with that under pet food labeling
5 issues, how we get things that -- you know, dogs and cats
6 are obviously pets. What about horses? And then we have a
7 variety of fish, iguanas, snakes, this whole other myriad
8 of things. And then --

9 MS. : Birdseed.

10 DR. McCHESNEY: And then somebody says: What
11 about my pot-belly pig? And what about the cow I keep in
12 my backyard, who is a family member? So it sounds trivial,
13 but it is actually, from a regulatory standpoint, it is
14 incredibly challenging to divide what a pet is.

15 So any insights you have on that would be very,
16 very helpful.

17 MS. : You mean right now?

18 DR. MARSHALL: No, to comment on. No, no, no,
19 no. To comment.

20 And as he mentioned, the pet food labeling
21 regulations, we are still working on those. They kind of
22 got put on hold because of preventive controls. I don't
23 know if that proposed rule will be publishing before the
24 end of the comment period, because we did have to deal with
25 that issue of what is pet food in that proposed rule. That

1 might be something we can use. If I said it, I would be
2 lying, but probably not. So --

3 DR. McCHESNEY: And the other -- you know, one
4 option is just to say this rule applies to dog and cat
5 food. I mean, you could have that. You could just -- you
6 need some, I think, justification of why one was saying
7 that, because that does sound kind of arbitrary. Because,
8 you know, a person who likes their fish may be out checking
9 that.

10 DR. MARSHALL: Well, we did have recalls on fish
11 food during the melamine. So --

12 ***Questions and Answers***

13 MS. BARRETT: All right. So Q&A with one
14 microphone on a short leash is, I admit, going to be a
15 little bit challenging. But we are going to be okay. We
16 are going to work through this. And what that means is if
17 you have a question, if you could come up. I am
18 actually -- let me move this out of the way. I think we
19 can get about here.

20 So if you can come up and ask your question,
21 state your name, your organization, and ask your question
22 into the microphone. I will hold it, so you don't need to
23 do that. Then I will pass it to the panel for their
24 response. And we will work like that. And hopefully it
25 will go somewhat smoothly.

1 So again, I appreciate your patience and welcome
2 the first question. Come on up. Thank you.

3 MR. RIEBLI: My name is Arnie Riebli with
4 Dairymen's Feed. And I actually have three questions. The
5 first is, in your presentation earlier, you talked about
6 Salmonella. Well, there are 2,700 different types of
7 Salmonella. Some Salmonellas impact animal feed, and some
8 Salmonellas impact humans. I think that your defage* type
9 is probably going to have to be delineated as to what
10 Salmonella we are talking about and how we are going to
11 handle that.

12 My second question is, are you going to treat
13 organic feed manufacturing differently than you treat
14 conventional feed manufacturing? And where you have
15 conventional and organic in the same mill, how are those
16 practices going to be implemented?

17 The third question I have is on meat and
18 bonemeal. Meat and bonemeal can and will be a contributor
19 to Salmonella. If you are not using meat and bonemeal, are
20 you going to be under the same guidance guidelines as a
21 mill that does use meat and bonemeal?

22 Thank you.

23 MS. BARRETT: Dan McChesney?

24 DR. McCHESNEY: The question of Salmonella
25 serotypes we have already dealt with in a guidance

1 document, where we talk about if you are making a pet food
2 product, then we are concerned for all Salmonella
3 serotypes, again, based on the human health implications of
4 bringing the food into the home. For animal feed, for food
5 animals, we delineated six or eight serotypes, some
6 specific for dairy feed. And so those are the only
7 serotypes we would be concerned of on the food animal side,
8 what is listed in the guidance document.

9 Meat and bonemeal, if you are not using meat and
10 bonemeal, then you just -- you would need to -- you would
11 not have a hazard that is associated with it. And you
12 would just need to keep records that you aren't using. I
13 mean, just, you know, here is what I buy, as simple as
14 that. And that goes back to somewhat of sort of how you
15 document things under the BSE regulation, where if you are
16 not using -- it is kind of strange. If you are not using
17 ruminant byproduct in a -- you have to -- you don't have to
18 have any controls, but you have to have some record saying
19 that just showing you are using poultry, poultry byproduct,
20 or soybeans.

21 So if you are not using a product that would be a
22 hazard, you don't have to control for that other than to
23 say, you know, we don't use it; here is the documentation I
24 don't use it, which would be just your purchase records.

25 Organic feed versus conventional, I don't see

1 | there is any difference in the way they are manufactured.
2 | For the manufacturing piece, we really don't differentiate
3 | between organic and conventional. It is how they are --
4 | this is process control and facility control. So it really
5 | wouldn't play into that. I know there are a lot of issues
6 | associated with organic and others in the produce rule, but
7 | from our side I don't see that.

8 | MS. BARRETT: Okay. Thank you.

9 | Another question, please?

10 | MS. GRIMM: My name is Katherine Grimm. And I
11 | work for Nutri-Dyn. And I am trying to find out if there
12 | is going to be nutraceutical, such as glucosamine, covered
13 | within the regulations, as well as how much in depth they
14 | are going to get into controls of documentation and change
15 | control.

16 | MS. BARRETT: Dan?

17 | DR. McCHESNEY: If you are an ingredient supplier
18 | of glucosamine, you are likely covered under this rule, no
19 | matter where it is going. And it is kind of an interesting
20 | dilemma, because on the human side of the house, dietary
21 | supplements are regulated as foods. And there are GMPs in
22 | place under 111. And actually, correct me if I am wrong, I
23 | think the GMPs apply to finished dietary supplements, but
24 | not to ingredients that might go into those dietary
25 | supplements.

1 MS. WAGNER: No. There are also some provisions
2 around the ingredients.

3 DR. McCHESNEY: Okay. So they are covered under
4 that. On the animal side of the house, the products are
5 regulated as drugs, because we don't have the ability to
6 regulate as dietary supplements because of the way the
7 dietary supplement law was written. So that does present
8 some unique challenges.

9 Where dietary supplements would be regulated as
10 drugs -- the animal nutritional supplements are regulated
11 as drugs and would not be covered under this preventive
12 control rule. However, ingredients going to them that are
13 food would be, if you have to register under the
14 Bioterrorism Act.

15 So do you want to --

16 DR. MARSHALL: And there is a fine line. I mean,
17 a lot of them are regulated as food.

18 DR. McCHESNEY: Yes. And then the question --
19 you know, we also see the other piece that would go into
20 that is most of the nutritional animal supplements are in
21 pill or tablet form or -- really pill or tablet form. But
22 we are now seeing more products like glucosamine and
23 chondroitin put into pet food where they would, I think,
24 clearly come under this rule. So it is going to require
25 very good records of where you are selling.

1 And I think the bottom line probably is that you
2 will be subject to this rule. But, you know, we are
3 willing to -- send us a question, if you have very -- you
4 know, this is my business, and this is how I do it. It is
5 going to be -- I think in many things like this we are
6 going to have to have almost answers to individual firms.
7 Yes, if you do it this way, you are in, and if you do it
8 this way, you are out. And we can maybe do that in
9 guidance. But we really need to know how that works and
10 why you have a different issue.

11 In the scheme of things, you are not necessarily
12 that different. You are not unlike the question from the
13 almond folks, where they are kind of different, too. And
14 so we are getting this category, we are getting this little
15 group of people who are kind of different that are not
16 clearly in or clearly out. And we just really need to know
17 the specifics of what you are doing.

18 DR. MARSHALL: Are you registered as a food
19 facility under the Bioterrorism Act?

20 MS. BARRETT: They are nodding yes.

21 DR. MARSHALL: The answer was yes.

22 MS. BARRETT: All right. The next question?

23 MS. MORRISSETTE: Me.

24 MS. BARRETT: Okay. Please come up, and I will
25 hold this for you.

1 MS. MORRISSETTE: This one is kind of a whopper.

2 So --

3 MS. BARRETT: Say your name and your association
4 again.

5 MS. MORRISSETTE: Mollie Morrisette, the
6 Association for Truth in Pet Food. I have to just read it,
7 because I cannot memorize it.

8 The standard by which all food is judged, whether
9 it be wholesome and safe, rests entirely on the FD&C Act.
10 The FD&C Act implicitly makes no distinction between food
11 for animals and food for humans. The law applies equally
12 to all food. Blah, blah, blah, blah.

13 And here gets to the hard part, where one of the
14 basic statutory components of the FD&C Act is adulteration
15 giving the FD&C the authority to pull the product, hold the
16 companies responsible regardless for whom the food is
17 intended. Now here is where it gets kind of achy. Despite
18 the statute, the FDA makes a disturbing proposal in the
19 animal food rule, the allowance of waste material in animal
20 food.

21 And in the proposed food for animals rule there
22 is a quote. This is a quote from the FDA. "In some
23 facilities, waste from human food production, such as
24 products that may not be edible for humans or lack nutrient
25 value for humans, are used and sold for animal food." Da,

1 da, da, da, da. And then another quote was that "Human
2 food waste that is used for animal food should be treated
3 as food for the purposes of its animal food use and as
4 waste for the purposes of its role in human food."

5 And this brings a really interesting dilemma,
6 because waste, you know, there are two different kinds of
7 waste. There is the achy, you know, the horrible stuff
8 that's been denatured and the byproducts that you don't
9 want to know about that end up going to the renderer. And
10 then there is edible parts of animals that simply are not
11 going to ever enter the human food stream because they are
12 not marketable products in the U.S.

13 So I want a definition of what, when you say
14 adding waste material, what does that mean. And could
15 we -- and I know that it is going to be really hard for
16 industry to let go of waste. I know that. I know that
17 probably won't ever happen. But if we could separate them
18 by quality or grades. I don't know. It is just -- I am
19 putting it out there.

20 MS. BARRETT: Thank you.

21 DR. McCHESNEY: I can start and then others can
22 jump in.

23 MS. BARRETT: Okay. I will put this here. Okay.

24 DR. McCHESNEY: I mean, I think one of the things
25 that -- one example of something that would be a waste

1 product or probably more appropriately a co-product that
2 does not have nutrition for humans would be the almond
3 hulls we just heard about. Right. So that is a perfectly
4 acceptable source, independent of what you call it, whether
5 you call it waste or co-product.

6 The other products that come out of the human
7 food industry can have a variety of things. And while they
8 may not be edible because their stems are from some produce
9 product or they did not meet a quality standard, so you
10 have salty snacks, you have potato chips are didn't
11 quite -- they are not quite the perfect potato chip or the
12 pretzel. And so we have waste. That is called waste,
13 although it is -- a lot of that is sold into the animal
14 food industry.

15 And then there are other products, human food
16 products, where there is some problem with them. So we
17 will just pick on Salmonella in cookie dough or something,
18 where that could go to animal feed, because it surely has
19 nutritional value, if there was a step involved to address
20 the Salmonella in it.

21 So the overriding principle is that if there is a
22 hazard in the product that is going to animal feed, it
23 needs to be addressed or addressed more controlled. And so
24 that is what -- we do that, actually, we do that now. And,
25 you know, I don't think we have a definition of waste in

1 the rules. But, you know, for other products, like USDA
2 has something they will call inedible. And that is just
3 trimmings in a variety of products.

4 MS. MORRISSETTE: Yes.

5 DR. McCHESNEY: Although, while they call it an
6 inedible, it is a very nutritional, valuable product for
7 animals.

8 MS. MORRISSETTE: Yes.

9 DR. McCHESNEY: And so it is edible in our
10 terminology. Their inedible becomes something that can be
11 used in animals. But the hazard still has to be -- the
12 hazard still -- whatever it is, there is a hazard
13 associated with the product coming in, and it has to be
14 controlled.

15 You know, we have had -- you see byproducts from
16 the distilling industry, like fuel ethanol industry, where
17 you will have distillers' grains going to animal feed,
18 which turns out to be a good supplement. One of the --
19 what that process does is it tends to concentrate things
20 that are in the byproduct that is going over. So things
21 like aflatoxin get concentrated two or three times. So
22 that becomes an issue. If you are feeding those grains,
23 you need to realize that you might have an aflatoxin
24 problem. But it is still a hazard to be controlled.

25 And so I will just leave it there. Others?

1 MS. WAGNER: I mean, my only comment more would
2 be that when we have --

3 MS. BARRETT: Roberta?

4 MS. WAGNER: Yes. Roberta. When we have
5 contaminated human food that folks want to divert to the
6 animal food arena, we have a compliance policy guide that
7 explains how to do that. And there are some -- you know,
8 you have to put a proposal forward. And our technical
9 experts in CDM will have to review the proposal. So there
10 is a lot of -- you know, we take it very seriously. And
11 there is some decision making that occurs when folks want
12 to do that.

13 MS. MORRISSETTE: (Away from microphone) I have
14 worked on the compliance side for this.

15 DR. McCHESNEY: And, you know, having said
16 that -- you know, Roberta kind of made me think of things,
17 because a lot of things, we do get a lot of requests for
18 diversions. And we, one, look at -- we have looked at
19 safety in the past, and we continue to look at safety. But
20 we also know where in the past we have gotten seafood
21 diversions, like shrimp or things, because they have
22 had high histamine levels, where they were basically
23 inedible for humans, they were considered spoiled. And the
24 diversion was, well, we want to send that to cat food. And
25 we actually turned that diversion down, because cats turn

1 out to be picky eaters, and they pick up high histamine in
2 cat food. And so if it went there, we were pretty sure
3 they were not going to be eating the product.

4 So we do -- virtually all of it is risk based.
5 If we know there is a problem associated in something that
6 an animal will choose not to eat, we have also turned -- we
7 turn those down. So there is a little bit of judgment that
8 goes into this that is not strictly safety related.

9 **MS. MORRISSETTE:** But will the industry -- sorry.
10 One more comment. Will industry understand what waste is,
11 as well as you do? I mean, waste can mean a lot of things,
12 too. It will mean one thing to a butcher and one thing to
13 a renderer.

14 **MS. BARRETT:** So do you want to -- for the
15 comments, then, to comment on defining waste?

16 **MS. MORRISSETTE:** Yes.

17 **MS. BARRETT:** This is Mollie Morrisette --

18 **MS. MORRISSETTE:** Oh, I am sorry.

19 **MS. BARRETT:** -- speaking for the record.

20 **MS. MORRISSETTE:** Sorry. Yes, I will put that in
21 my comment.

22 **MS. BARRETT:** Roberta?

23 **MS. WAGNER:** And just to correct the record --
24 this is Roberta -- it would be the scumbria-producing fish,
25 not shrimp, that produces --

1 DR. McCHESNEY: Oh, sorry.

2 (Laughter)

3 MS. BARRETT: Thank you for the clarification.

4 Okay. Another question? And your name and
5 organization?

6 MR. REED: Hi. My name is Jeff Reed with the
7 Grange Co-op. In the future, you guys have talked about
8 having third-party state inspectors and FDA doing
9 inspections. That has been in the record so far. How are
10 you guys going to define and keep these to where they are
11 separated or we are not getting multiple inspections? We
12 had the privilege this year of getting a very thorough FDA
13 inspection in the spring, followed up three weeks later by
14 our state inspector coming in and doing the state
15 inspection and adding on an FDA inspection.

16 MS. BARRETT: Let's see. State your name.

17 MS. WAGNER: Roberta Wagner. It is actually a
18 very good question, and we struggle with this, because FDA
19 does contract with our SAFE partners obviously to do both
20 human and animal food and feed inspections. And what I can
21 say is I am on the governance board for the Partnership for
22 Food Protection. And that is a true partnership between
23 our state regulatory partners and FDA. And we have a
24 number of work groups. And one of the things that we are
25 attempting to do is -- and there actually will be a

1 document. And it might have been already published. It is
2 a best practices document for better coordination between
3 the state and FDA regulators.

4 And in that document it specifically says we need
5 to do joint work planning. And if at all possible, we
6 should not be in the same firms within six months of each
7 other. So that would give you some relief. I know the
8 districts have state liaisons now. The district offices
9 have state liaisons now. And they do sit down with their
10 state counterparts. Most of them have face-to-face
11 meetings and talk about the firms that FDA plans on
12 inspecting in our fiscal year, which is different than the
13 states perhaps. And then the states share what they are
14 going to be doing. So we try not to step on each other's
15 toes. And it still happens periodically.

16 I think I had a really good example. There was a
17 firm out on the West Coast that said they had six
18 regulatory inspections in a six-month period. Now, here is
19 the situation. They had USDA in there because they were an
20 organic firm. They did both human and animal food and
21 feed. They were a seasonal operation. There was just a
22 number of things that were going on. They had a BSE
23 inspection. They had -- you know, the list went on. But
24 once you actually probed into it, those six regulators were
25 out there doing different things. This was a seasonal

1 operation. You only had a six-month period to get in
2 there.

3 I am not going to say that is going to go away
4 immediately, but we are aware of it. And we will be
5 working on that as we further integrate the food safety
6 systems between the states and FDA.

7 MS. BARRETT: Thank you.

8 Another question?

9 MR. DELANEY: Sean Delaney, DVM Consulting. You
10 alluded, Dr. Marshall, to labeling at the very beginning.
11 Is that comment meaning there won't be a comment section
12 for any recommendations on labeling that may come from the
13 FDA on packaging?

14 DR. MARSHALL: On labeling for?

15 MR. DELANEY: Pets is what I am most interested
16 in.

17 DR. MARSHALL: No. That proposed rule is in
18 process. I just can't tell you when it will publish. Does
19 that answer your question or --

20 MR. DELANEY: And the comment period?

21 DR. MARSHALL: I'm sorry?

22 MS. BARRETT: There will be.

23 DR. MARSHALL: Oh, there definitely will be a
24 comment period. Oh, yes. Probably 120 days minimum.

25 MS. BARRETT: So separate rule-making with

1 comment period, 120 days perhaps.

2 Okay. Another question?

3 MR. FERREE: Hi. My name is Bruce Ferree. I am
4 with a food manufacturer known as California Natural
5 Products. We are a USDA canner. We're a FDA canner.
6 We're a water bottler. We're dairy. We're organic. And
7 the question really goes to the waste. Everybody has
8 waste. And I think a lot of it will be handled based on
9 how you define a very small processor. The dollar values
10 given are only for the waste streams. And I believe most
11 waste streams are picked up at no cost by farmers.

12 The question goes to, those people that do not
13 qualify as a very small processor, will the FSPCA -- did I
14 get that right, Preventive Controls Alliance? -- be able to
15 provide some guidance to help manufacturers understand what
16 is the difference in risk between feeding to swine versus
17 going into a pet food market?

18 DR. MARSHALL: This is Cathie Marshall. I
19 believe so. I mean, that is the -- I can't tell you
20 exactly what guidances are in development right now, but
21 that's a good question. And whether it will be in one
22 guidance with all these different nuances or if we will
23 have all separate guidances. But yes, that is something we
24 need to address, because there are differences in these
25 different food products.

1 MS. BARRETT: Thank you.

2 Okay. Another question?

3 MR. YABROFF: I am Rick Yabroff with J.D.

4 Heiskell. And I have three questions, so I will -- the
5 first is, as somebody who is going to be involved in
6 implementing GMPs, we have a number of dairy feed mills
7 across the country, none of which have to comply with GMPs.
8 So I am actually more concerned about implementing GMPs
9 than I am preventative controls, because I believe we have
10 very few hazards.

11 So I would like to know what is the rationale for
12 applying GMPs to all animal food types? And why isn't
13 their application risk based like preventative controls?

14 The second question is related. Is FDA
15 developing criteria for de minimis hazard activities?
16 Because this could be the basis for exempting some
17 activities from GMPs.

18 The third question is, does the definition of
19 reasonably likely to occur include published guidance
20 documents? In which case the FDA guidance on preventative
21 controls would become enforceable because they now fall
22 under the definition of reasonably likely to occur.

23 Thank you.

24 MS. BARRETT: Very good.

25 Okay. Dan, I am going to start with you.

1 DR. McCHESNEY: The first one is related to GMPs
2 and hazards. The legislation required us to have
3 preventive controls and control hazards through preventive
4 controls, that GMPs are a way of controlling a whole
5 variety of hazards in probably a more uniform way. So if
6 we just pick on pest control, you can control pests through
7 GMPs by saying routinely my building is sealed up, you
8 know, the roof doesn't leak, I have a pest control
9 operation, or you could have said controlling pests is a
10 hazard under preventive controls, and you would have to put
11 in all the verification requirements.

12 So GMPs in many ways are what used to be called
13 or are still called in HACCP like a prerequisite program
14 that gets you out of doing a lot of specific controls for a
15 variety of areas, because they are viewed as universal
16 going across.

17 And that question -- so that is sort of the
18 answer to why GMPs for everybody. The other piece that is
19 in there goes to the question that GMA is asking of the way
20 they read it, they currently read this, and I think I am
21 right on this, is that the way we have defined things that
22 are reasonably likely to occur, hazards that you control,
23 such as pests or things that are GMP-type ones, would, at
24 least in their view, require the same documentation that we
25 are saying is required under preventive controls, because

1 they are hazards that are controlling.

2 We don't happen to see it that way. But that is
3 sort of the -- so that is the first one. GMPs are a way of
4 reducing the amount of hazards you have to control under
5 preventive controls. De minimis activities, I mean, I
6 think if you can show hazards are not reasonably likely to
7 occur, or whatever terminology we find out, then, you know,
8 you would not have to control it. You would have to say
9 here is the basis for that and why it is not a problem for
10 me.

11 This is sort of like mixing metaphors, but we
12 have had similar things -- especially since you are in the
13 dairy industry, you probably understand this -- is that
14 ruminant protein, under the BSE regulation, your feed, you
15 know, you don't really have to have a hazard program saying
16 you don't use ruminant dried protein in dairy feed. You
17 just have to have some documentation showing that you are
18 using soybean meal or poultry meal or some other thing. So
19 you just -- you don't have that hazard. You just say: I
20 don't have that hazard because I don't use that product.

21 And that -- someone else asked a question, I
22 think, over here about meat and bonemeal, I think the
23 gentleman from the dairy industry. So I don't know that we
24 are going to be de minimis, but if you have a hazard that
25 is not likely to occur in your product, we are not going to

1 do that.

2 And then the reasonably likely to occur, what was
3 your question? I forgot. I wrote down "reasonably
4 likely" --

5 DR. MARSHALL: Basically if it is in guidance, if
6 it is going to be reasonably likely to -- I think what we
7 will have -- and this Cathie Marshall. What we will have
8 in guidance are going to be the obvious hazards that --
9 well, in certain food that is already -- Salmonella in pet
10 food, where we already have incidents of illness.

11 MR. YABROFF: In pet food.

12 DR. MARSHALL: In pet food, nutrient imbalance.
13 There was just a recent recall of poultry feed for calcium
14 levels. So I don't think that the guidance -- you know,
15 again, the guidances aren't in, so I can't say exactly.
16 The regulations do allow FDA to recognize a hazard, if a
17 new -- like with melamine, if we find out there is a new
18 intentional adulteration, something that no one has ever
19 heard of, now we are aware of it. Melamine would not have
20 been a hazard we would have considered long ago. Now we do
21 consider that. And you have to think about it. Is it
22 reasonably likely to occur? It may not be.

23 So you may have hazards that you identify, but
24 are they reasonably likely to occur in your industry?
25 Maybe not. Or in what you are doing, maybe not.

1 MR. YABROFF: Can I just follow up?

2 MS. BARRETT: Again, state your name.

3 MR. YABROFF: Rick Yabroff, J.D. Heiskell. In
4 your presentation on guidance, you said that you are going
5 to be developing guidance for a number of activities and
6 industries.

7 DR. MARSHALL: Right.

8 MR. YABROFF: Well, if you make up an example of,
9 well, you know, in dry blending you could, you know, this
10 and this could happen, doesn't that become defacto
11 regulation, because now we have to assume that it could
12 happen at all of our facilities that do those activities?
13 So instead of it being site specific, we have to go to
14 whatever guidance FDA prepares relative to our industry and
15 assume that that is now reasonably likely to occur. That
16 is my concern that I would like you to address.

17 MS. BARRETT: Thank you.

18 Roberta?

19 MS. WAGNER: Yes. I guess the one thing I was
20 going to say, we can identified hazards, but you have to
21 look at the practices and what is really going on. You
22 even have to look at where are you getting certain
23 components for your pet food or -- certain parts of the
24 country might be a little bit, or certain parts of the
25 world might be a little bit more risky than others.

1 So, I mean, I think it is one thing to identify
2 hazards that could potentially be reasonably likely to
3 occur relative to a given production, you know, of food or
4 feed. You have to look at the practices. And there are a
5 lot of other things that could factor into that. So I
6 don't think the guidance would ever be that prescriptive.
7 I just -- I cannot imagine it being that prescriptive in
8 this case.

9 DR. MARSHALL: This is Cathie again. Well,
10 another example like aflatoxin, I assume aflatoxin would be
11 a hazard you would identify. And so for dairy feed that is
12 probably one we would use as an example in the guidance
13 document.

14 DR. McCHESNEY: The other thing is that I think
15 if we go back, and FDA has evolved over the years, and if
16 we look at the seafood HACCP program, there is a seafood
17 hazard guide that sort of like every hazard known --

18 MS. : I was hoping you wouldn't go
19 there.

20 DR. McCHESNEY: I mean, that was a huge amount of
21 work. And it sort of takes a little bit of burden off
22 industry, maybe rightly or wrongly. That has not been
23 FDA's tact going forward either in juice or in other rules
24 that were not -- so we are not seeing right hazards because
25 we realize it can be viewed as, well, that is the only one

1 I have to deal with. And maybe there are other ones.

2 The issue you raised -- I was on the U.S.
3 delegation to the CODEX task force on good animal feeding
4 practices. And we spent a long time debating whether there
5 should be lists of hazards associated for that very reason,
6 of that, well, if there is a list of hazards someplace,
7 somebody is going to say, you are going -- and this was on
8 an international level. So somebody, even for a trader,
9 you are going to say you are controlling this, this, and
10 this, when in fact it is not even something that is
11 relative to maybe a dairy feed.

12 And so there are lots of things around lists of
13 what do they mean and how are they used, and we are
14 cognizant of that. And I think, you know, as Cathie said,
15 I think if we used a dairy example, we would use one that
16 was surely a hazard in dairy feed in the U.S. Aflatoxin
17 would be one. And then if there were other lists out, I
18 mean, they would be out there with a huge amount of
19 caveats. So these are hazards that might occur in your
20 feed, but obviously not in everyone's product, you know,
21 based on where you are sourcing things.

22 And so we are very cognizant that lists are bad
23 things, or are generally bad things to put out because they
24 get defaulted to you have to control for this, when in fact
25 you may or may not have to control for it. And, you know,

1 I think there is comment not made directly to this, but --
2 and I forget who made it. But it sort of goes along the
3 line, well, if we are in the California SAFE program, can
4 that be -- you know, what does that get me? Can that be
5 used or can we get an exemption because we are under that?

6 It is sort of the same thing that, you know, what
7 might be a hazard in California may not be a hazard in New
8 York, because maybe they don't even have that ingredient.
9 So it is just not -- for a dairy in New York, it could have
10 completely different hazards than a dairy in California.

11 MS. WAGNER: And I guess one thing we have to be
12 careful about, when we are talking about seafood HACCP and
13 juice HACCP, it is written under a different framework. It
14 basically written around -- there are critical control
15 points. There are places in your process where you
16 absolutely have to put in some sort of control to mitigate
17 a particular hazard.

18 And under the preventive controls rules, you may
19 have preventive controls that are not critical control
20 points and that require critical limits. So that is where
21 they are a little bit different.

22 MS. BARRETT: I just want to get a sense at this
23 time of how many more questions do we have. If you are
24 going to have a question, if you could just raise your
25 hand. Okay. A couple more.

1 All right. If you would come on up. And again,
2 state your name and your organization.

3 MS. FERRIGNI: Hi. My name is Kristin Ferrigni.
4 I am with Roll Global. We manufacture a variety of
5 agricultural products, including pistachios and almonds and
6 fruit products and such. So my question relates to the
7 product that is human food waste that may get diverted for
8 use in animal feed. And I would like to understand more
9 about the nutritional requirements and is there an
10 expectation that this byproduct meets certain nutritional
11 standards or that we maintain certain, you know, testing or
12 anything like that.

13 DR. McCHESNEY: No. The only expectation -- Dan
14 McChesney -- the only expectation is the product is
15 truthfully labeled. So if it is pistachio whatever, it is
16 just pistachios. And I think there are some -- there are
17 AAFCO definitions for a variety of things, which -- so as
18 long as it meets that definition, that is all that is
19 required. We are not asking you to require the nutrient
20 balance or any of that. We are just requiring it to be
21 what it says it is.

22 And the whole issue on nutrient balance is really
23 a processing issue. If someone is going to use one of your
24 products, they need to figure out, you know, what -- if it
25 has a certain protein they need to figure out what that

1 protein content is, whoever is formulating the feed, and
2 use that accordingly. But it is not, other than -- your
3 responsibility is to label it correctly. And that would be
4 it.

5 MS. FERRIGNI: Can I ask a follow-up question?

6 MS. BARRETT: Sure.

7 MS. FERRIGNI: Okay.

8 DR. McCHESNEY: And I would then say address any
9 hazards that might be associated with, although I am not
10 sure what that would be.

11 MS. FERRIGNI: Well, one thing just to add to
12 that is customarily this product will be taken off to
13 farms. And there is maybe no labeling at all. So, you
14 know, loaded into trucks and taken to farms for feed. So I
15 was just curious about, I guess, the paperwork, I mean, the
16 requirements, when we are not actually saying that it meets
17 a specific nutritional requirement.

18 DR. McCHESNEY: No, it wouldn't.

19 MS. FERRIGNI: Okay.

20 DR. McCHESNEY: I mean, I think it just has to,
21 wherever on the bill of -- if you are -- do you give this
22 away or do you sell this? Maybe that is one question.

23 MS. FERRIGNI: We well.

24 DR. McCHESNEY: Okay. So you are selling them
25 something. The question was, do you give this away or do

1 | you sell it? And the answer was her company sells it.

2 | So you sell them something, whatever it is
3 | called. And I just think it has to be truthfully --
4 | whatever you are selling, you need a record that this was
5 | sold to the firm. But beyond that, you don't need
6 | anything.

7 | MS. FERRIGNI: Okay.

8 | MS. BARRETT: Okay. Great. Thank you.

9 | Another question? Did you have a second
10 | question?

11 | MS. FERRIGNI: No. I would rather go last.

12 | MS. BARRETT: Okay. Another question?

13 | MR. YABROFF: Rick Yabroff, J.D. Heiskell. Could
14 | you talk a little bit about what would be enforceable under
15 | this regulation? I understand, for example, a written food
16 | safety plan, but would -- I am just interested in what
17 | would be the subject of an inspection or what would be
18 | enforceable.

19 | And also -- oh, I just lost my thought here.
20 | There was -- in the proposed rule, there is a discussion
21 | about entering hazards on some kind of a database or
22 | website, registering. And I know that a lot of companies
23 | are going to be very against that, particularly larger
24 | companies. But as a smaller company, I am wondering
25 | if there is any other mechanism or is that the primary

1 mechanism to level the playing field to make sure everybody
2 is actually complying. I mean, I think there is a large
3 value in that. Obviously implementation is not going to be
4 easy of this rule. And how will FDA ensure that companies
5 are implementing this rule so that there is a level playing
6 field in the industry?

7 MS. BARRETT: Thank you.

8 We will start with Dan.

9 DR. McCHESNEY: Yes. What was your last -- your
10 second to your last question, your statement?

11 MR. YABROFF: Enforceability. What is going to
12 be enforceable, what --

13 DR. McCHESNEY: No. There was something else.

14 MS. BARRETT: Something about food safety.

15 DR. McCHESNEY: I just -

16 MS. WAGNER: It basically it was --

17 DR. McCHESNEY: Implement. Right.

18 MS. WAGNER: I think you are getting to the
19 implementation and what kind of industry oversight are we
20 going to have to ascertain if they are in compliance with
21 the new standards. That is actually my last, you know, the
22 gist of my last presentation. So we can talk to you a
23 little bit about that.

24 We don't have final rules yet. I mean, so -- but
25 we are already talking about how might we implement an

1 oversight program to assure industry compliance. And it is
2 probably going to be a phased-in approach. We might handle
3 produce, the produce safety rule, a little bit different
4 than the preventive control rules, but they will all have
5 like an education outreach, perhaps some technical
6 assistance.

7 We are talking about how we might train our
8 investigators to actually, you know, for the first round of
9 inspections, perhaps they won't be regulatory type
10 inspections. Perhaps you will have done a self-assessment,
11 for example. And we will come out and talk to you about
12 that. If you have questions, is there a way we can engage
13 the subject matter experts in the centers and/or through
14 academia to help answer your questions?

15 So we are doing a lot of brainstorming around
16 that right now, but we certainly don't know what it is
17 going to look like at this point.

18 DR. McCHESNEY: Dan McChesney. I remembered.
19 The question I think you asked was about facility
20 profiling.

21 MR. YABROFF: Yes.

22 DR. McCHESNEY: Okay. And we are asking about
23 that in the proposed rule. We are asking for comments.
24 Should that be required? And Roberta can comment on this,
25 but I think the thought behind that would be -- and this

1 isn't a detailed plan, but just saying basically what
2 hazards are associated with your product. And I think sort
3 of the overall thought behind that is that before an
4 inspector would show up, you would say, well, I am going to
5 a feed mill that makes dairy feed. And you might look at
6 company profiles that are dairy manufacturers and say these
7 are hazards that these firms say are associated with them.
8 And you might have a list of, let's say, three hazards.
9 And these are some control things they have.

10 So if you were going to show that at your
11 facility, if in fact you looked and you had one hazard and
12 sort of the norm was three hazards, the question would be,
13 well, do you have other hazards? Why aren't you
14 considering these other ones also hazards?

15 And it could be that, you know, I make dairy feed
16 in California, and it is not a problem in California. It
17 could be as simple as that. But I think that was sort of
18 the intent of the profiling.

19 MS. WAGNER: I can tell you on the human feed
20 side --

21 MS. BARRETT: Your name?

22 MS. WAGNER: Oh, it's Roberta.

23 On the human feed side, GMA and some of their
24 members came in to pilot industry profiling. And they are
25 very opposed to it, quite frankly, because they said just

1 basically saying you manufacture this type of food or you
2 warehouse this type of, and just listing hazards without
3 knowing a lot of other information, you could really
4 misinterpret what they have provided to us. And their
5 major concern was if we were going to use the profile in a
6 way to designate firms as high risk, non-high risk, which
7 affects the inspection frequency in the domestic arena, at
8 least. Again, very concerned.

9 They would -- you know, GMA basically told us on
10 the human feed side that they prefer we do that type of
11 assessment on profiling onsite during inspections. So we
12 will take that under advisement.

13 MS. BARRETT: Thank you.

14 Molly, I know you will speak last on your
15 question.

16 Is there another question before we go to Molly's
17 question? Anyone else?

18 Okay. All right. And also, too, when we do end
19 today, our panel will be here at the close. So if you want
20 to come up and talk to someone, you certainly have that
21 opportunity, as well.

22 So Molly, do you want to come up and ask a
23 question?

24 MS. MORRISSETTE: Yes.

25 MS. BARRETT: And again, if you will say your

1 full name and organization.

2 **MS. MORRISSETTE:** Molly Morrissette, the
3 Association for Truth in Pet Food. I hate to keep harping
4 on this same subject, but I really need to understand it.
5 Waste product, it needs to be defined as what does it mean,
6 and will there be a standard applied to what is a waste
7 product. Are these moldy hot pockets from Walmart? Is it,
8 you know, denatured cancerous parts from a slaughter
9 facility?

10 Because if you -- if there is no framework with
11 which to define that word waste, then it leaves it open to
12 a very vast interpretation. And that makes me really
13 uncomfortable.

14 And the second part is, even if there was a
15 definition of waste, oh, like, you know, the peelings of
16 apples or something that is safe, that is not contaminated
17 with anything, that is diverted to animal food, when we
18 have compliance policies that go around the FD&C laws
19 saying that if a food is adulterated, you know, you may not
20 necessarily be -- what is the word -- you won't -- the
21 compliance policies allow you a lot more freedom, even
22 though the FD&C says one thing, the compliance policy says
23 something else. So it gives them a lot of room. And that
24 is scary to me as a pet parent. I don't want that burden.

25 **MS. BARRETT:** I don't think the comment was

1 heard. Was there further reflection on it?

2 DR. McCHESNEY: Yes. I think maybe the further
3 reflection was -- I mean, that comment and the gentleman
4 back there who commented is that, talking about guidance,
5 we may need something more, something about the waste
6 stream or the co-product stream. I mean, I think that is
7 sort of something more focused on what are we -- what is
8 our thinking in that area and sort of what is eligible and
9 what is not eligible. And I think the way to do that would
10 be some type of guidance.

11 MS. WAGNER: Initially. And then maybe it would
12 end up as a compliance policy. I mean, that is kind of
13 how --

14 DR. McCHESNEY: You know, there is a -- I mean, I
15 think if you talk to folks, there is a fairly broad -- I
16 mean, people talk about the waste stream or the co-product
17 stream or the byproduct stream. And I think the
18 terminology may somewhat depend on what part of that
19 business it is. If it is a big part of your business, it
20 is the co-product stream. If it is something you give
21 away, it may be the waste stream.

22 MS. BARRETT: Thank you. All questions are
23 really valuable in this discussion. And I just want to
24 thank everyone who did ask a question. And again, it will
25 be in the transcript for the record. And also, if you can

1 send in your comments, too.

2 Okay. We are going to transition to the end
3 session, which is around implementation. Roberta has
4 already referenced a few things. But this is an
5 opportunity for her to sort of give you the framework that
6 we have put in place as we look at implementation issues.

7 And with, Roberta? Thank you.

8 ***Looking Ahead Toward FSMA Implementation***

9 ***By Roberta Wagner, Deputy Director for Regulatory Affairs,***

10 ***FDA Center for Food Safety and Applied Nutrition***

11 MS. WAGNER: Okay. And I will try to make this a
12 little bit brief, because, I don't know about you, but I am
13 starving. So --

14 Anyway, we will just round out the meeting. We
15 had talked to you a little bit about what we are doing with
16 FSMA implementation. And, you know, I would say phase one
17 of the implementation has been the rule making and the
18 guidance and preparing guidance to issues that are
19 associated with the various rules. And I am not sure if
20 you have been on our FSMA website, but there is the whole
21 structure with the six teams. There was imports,
22 preventive controls, and really what was -- and they call
23 it the FSMA Implementation Team. The FIT is what we call
24 it.

25 And again, what was done under that particular --

1 MS. BARRETT: I'm sorry.

2 MS. WAGNER: That is not necessary, really.

3 So what has been, you know, going on under that
4 structure really is the rule making and guidance. And then
5 we had to update the Feed, Drug and Cosmetic Act because of
6 FSMA in several sections. And we have issued some guidance
7 relative to the prior notice center and things like that.

8 So that structure is -- some of it is slowly
9 closing down, but a lot of it will remain intact until the
10 rule making and the guidance writing is done. So we have
11 that structure.

12 (Slide)

13 And I am actually going to move through some of
14 this very quickly and get to the new structure that we have
15 set up, which we call the FOT, the FSMA Operations Team
16 structure. So we have the FIT. FIT is the rule making and
17 the guidance and the pictures on the FSMA website. And now
18 we have created the FOT, which is really starting to think
19 about how we are going to operationalize or, I would just
20 put it this way, gain industry compliance with final rules.
21 And so that can be done obviously through education and
22 outreach, through industry guidance, eventually through
23 inspection. I hope we don't have to move into the
24 enforcement arena. But again, FSMA is giving us new
25 enforcement tools that, if we have to go that way, we will

1 go that way.

2 (Slide)

3 Again, as important as it is to get the final
4 FSMA rules right and get them out there, if they are not
5 implemented well, in other words, if we cannot get industry
6 compliance, we have not achieved what we want to achieve.
7 And that is a reduction in food-borne illness. You know,
8 that is the bottom line.

9 We know that it is critical to implement these
10 rules right. And I have heard, you know, several comments,
11 and we get this often, is how are you going to implement
12 these rules in a high-quality consistent manner. And, you
13 know, we have to look at our pool of regulators that will
14 help us oversee compliance with these rules, not just as
15 FDA but as the FDA and state, because as you heard earlier
16 today, the states do a lot of inspections under FDA
17 contract. They do a lot of inspections on their own, quite
18 frankly, as well. So we have to consider that.

19 (Slide)

20 Again, we have to work with our partners. One
21 thing we are really thinking about that we have to do is
22 change resource planning and deployment strategies to
23 achieve better public health outcomes. Everything has to
24 be risk based. FSMA, so the many, many different sections
25 that -- and 201, in particular, says: FDA, you have to

1 allocate your resources based on risk. And it talks about
2 our operational resources. We need to do our inspections
3 and allocate resources to do those inspections based on
4 risk. We need to target sampling and sampling at the
5 border, for example, based on risk.

6 So if FSMA drives it home to FDA, you had better
7 have some rationale for why you are doing what you are
8 doing, why you are out at certain firms more often than
9 others, why you are sampling things, why you are doing
10 environmental sampling in what facility. So that is one
11 thing is we are moving through FSMA implementation that we
12 are working with. And we are doing a lot of risk modeling
13 right now.

14 (Slide)

15 So the operational approach. This is the FSMA
16 Operations Team. It is literally a pretty separate group
17 from the folks that are on the FIT team. So we are trying
18 to divide and conquer here, but there has to be
19 communication between the two groups. So we have set up a
20 manner to do that.

21 The FOT team has a steering committee. It is
22 high-level senior managers across FDA's food program. And
23 FDA's food program is split up. There are three different
24 components. We have the Center for Food Safety and Applied
25 Nutrition, the Center for Vet Medicine, and then -- and

1 that is really your technical experts. You have Dan and
2 others from CBM here. You have me from CFSAN. We don't
3 have Office of Regulatory Affairs here, except there are a
4 few folks out in the audience that I know are from ORA.
5 The ORA is your boots on the ground. So it is critical
6 that all of us are together, the technical experts and the
7 boots on the ground.

8 So what we have done, we have a steering
9 committee that is co-chaired by a senior leader from ORA
10 and then basically somebody right under Mike Taylor right
11 now, Linda Tollefson. So she is up in the Commissioner's
12 office. And that is who we have running the steering
13 committee, as well as the directors of the Office of
14 Compliance from CVM and CFSAN.

15 We have advisors to the steering committee and to
16 work groups that have been composed under the FOT. We also
17 have a project management team, a full-time project
18 management team. So we are investing resources now. And
19 just so you know, the FOT was set up in January of last
20 year. So we are definitely thinking about how we are going
21 to implement these rules. We are dedicating resources to
22 that.

23 Under the FOT structure, we have form three work
24 groups. We have preventive controls, and that is for human
25 and animal food, produce safety, and import controls. And

1 really, what these work groups have been tasked with is,
2 here are some regulations, you have to start framing out
3 how are we going to gain industry compliance with these
4 regulations, what will the compliance and enforcement
5 strategies look like, or what could they look like.

6 These three work groups are co-chaired by either
7 somebody from CFSAN or CVM, our technical centers, and
8 somebody from the Office of Regulatory Affairs, so an
9 investigator for the most part, an inspector or supervisory
10 inspector.

11 They are co-chaired. There is 15 people or so.
12 And I need to say that each worker right now has at least
13 one representative from a state regulatory agency. The
14 produce group has five representatives from state
15 organizations. And they are the big players in Ag relative
16 to the states.

17 So we recognize immediately that, as we are
18 developing compliance and enforcement strategies, it can't
19 just be the feds sitting there developing these strategies.
20 We have to have our state regulatory partners. I would
21 also say for produce, we have USDA also sitting on the work
22 groups.

23 And the FSMA Operations Team, they are getting
24 their direction from what we call the Foods Program
25 Executive Committee. We call it the FPEC. That is run by

1 Mike Taylor. So it is Mike Taylor. It is the center
2 directors from the Center for Food Safety and Applied
3 Nutrition and CVM and the head of the Office of Regulatory
4 Affairs or the ACRA. They comprise the FPEC. It is the
5 FPEC that is giving the direction to this FOT structure.
6 So it is up at that high, very high level.

7 Again, what they are doing right now is they are
8 starting to frame things out, having a lot of conversations
9 with people. And I do know that the FPEC and some other
10 senior leaders were going to be meeting in January. And we
11 want them to kind of do a download of the brainstorming and
12 what they have done so far. And then we are going to start
13 giving them some more strategic direction. So that is kind
14 of the next steps.

15 (Slide)

16 You know, there are certain ingredients to
17 success for FSMA implementation and what I am calling the
18 phase two kind of operationalizing final rules. And the
19 bottom line is we have to continue to strengthen our state
20 partnerships or strengthen our relationships with our state
21 partners. And one way we can do that is through the
22 Partnership for Food Protection, which I mentioned already.

23 We have been building an integrated food safety
24 system in the United States for the past ten years. FSMA
25 legislates it. It says FDA or the feds and the states, you

1 need to integrate. And the question that came earlier
2 about why do I have a state regulator out and then an FDA
3 regulator out, that is the core of the issue here. And
4 there might be reasons for that to happen. You know, the
5 state might get a consumer complaint, and they need to go
6 follow up. You know, we might have a recall. We need to
7 go follow up. But where we coordinate and leverage
8 resources, we need to be doing that.

9 The Partnership for Food Protection has been
10 around for many years now. It is a series of committees
11 and work groups. And they tackle all sorts of issues from
12 training, how do we, you know, get consistent training out
13 to our fed and state partners; to IT, how do we share data
14 seamlessly; to inspections or if there are certain data
15 elements that we should all be collecting so we can track
16 and trend what everybody is doing across the board. The
17 states do thousands of inspections, far more than FDA. It
18 would be nice if we could pool our data and keep an eye on
19 what is going on and trend the data.

20 So a lot of this work is going on under the
21 Partnership for Food Protection. And what we are going to
22 be doing is they are downloading what they have been doing
23 over the last many years to the FOT group. And then the
24 FOT will be able to use some of the work they have done.
25 We have built several best practices documents through the

1 Partnership for Food Protection. And like I said, you
2 know, I have been kind of a liaison there. We are setting
3 up briefings for the FOT work groups, so the PFP can
4 download what they have been doing. So that is the connect
5 there.

6 Let me just move to the fourth bullet there. We
7 have to develop risk-based metrics in line with the public
8 health prevention framework. We count a lot of widgets in
9 FDA. We count the number of inspections we do. We count
10 the number of warning letters we issue. That is not
11 getting to whether or not we are having an impact on public
12 health.

13 And so under FSMA it is prevention. And the
14 ultimate goal is to reduce the number of illnesses. And,
15 you know, it can be pet or animal. I don't care. But from
16 food and animal feed. So we are going to have new metrics.
17 And we are going to, you know, be able to measure the
18 impact of what we are doing and industry compliance on
19 reducing the number of food-borne illnesses. So different
20 metrics. We are going to be looking at things very
21 differently. And that is the only way we are going to be
22 successful in implementation. We need to show that all of
23 the things that we are asking industry to do will have an
24 impact.

25 (Slide)

1 So, you know, next step is we just -- you know,
2 we are going to work toward figuring out how we gain
3 voluntary industry compliance. And we will have to work
4 with the whole span of partners to do that. From our state
5 regulatory partners and international regulatory partners
6 to academics to the industry to consumer groups, you know,
7 we are going to need everybody to help us, you know, once
8 these final rules are out, to get folks into -- and what we
9 want is voluntary compliance. We don't want compliance
10 that is driven by enforcement, if we don't need to go in
11 that direction.

12 We will be linking risk-based priority sets with
13 actual budget planning and execution. And, you know, one
14 thing we are already talking about is this whole
15 consistency and making sure we are doing high quality
16 consistent inspections in the field. One of the models
17 that has been proposed is we have a lot of technical
18 experts in our centers. You know, we have our dairy
19 experts. We have our egg experts. We have our GMP
20 experts. And how do we link those folks up real time with
21 the investigators when they are doing inspections, so they
22 can answer industry's questions? And even if they see
23 things they don't know, does this mean anything? Should
24 this be identified as a hazard? Should it not?

25 So we are trying to set up a system now where the

1 | investigators can real time connect with the subject matter
2 | experts while they are out doing inspections, so they do a
3 | better quality inspection. And perhaps they can help
4 | answer some of the questions industry has, as we move
5 | through with implementing these particular rules.

6 | You know, we tested out -- about a week ago, I
7 | had one of the subject matter experts in the center mention
8 | to me that an investigator actually out in San Francisco
9 | was out at a firm, had some questions on registration, had
10 | some other very technical questions on the manufacturing
11 | process they were inspecting, and via instant mail got
12 | their answers, you know, got questions answered, and they
13 | were able to provide some consultation, which we don't like
14 | to say we do, but they did to the firm.

15 | And bottom line, it is just through instant
16 | messaging and contact between an inspector and a technical
17 | expert in the center that we were able to, you know,
18 | perform a much better inspection, and an inspection that I
19 | think industry got some value out of, as well. So that is
20 | one of the approaches we are thinking for the future as we
21 | move forward with implementation of some of these rules.

22 | (Slide)

23 | Bottom line, I think you have heard today that we
24 | have invested, we continue to invest, a lot of resources in
25 | implementation of FSMA. That is the only way we are going

1 to be successful. We need all of you. Everybody sitting
2 in this room, we need all of our stakeholders to help us be
3 successful. There is a lot left to do. We recognize that.
4 You know, we have people in FDA that are writing, continue
5 to write, new proposed rules. We are going to have folks
6 now that have to -- you know, they are going to go through
7 all your comments that we are receiving and analyze those
8 and reshape rules that have been proposed. So we have
9 folks working on that.

10 We have folks working on guidance documents. And
11 now we have this whole new group of folks that are really
12 working on how do we operationalize this? How do we help
13 industry gain compliance with some of these rules, which we
14 know are new and novel and vast? Particularly if you fall
15 into a couple categories, you are an importer and you are a
16 manufacturer and that type of thing.

17 Again, we need to continue active and positive
18 engagement with our stakeholders. And, you know, what I
19 want to say is that we have been engaging you relative to
20 the rule-making process. Our goal is to engage you
21 relative to how do we implement the rules once they are
22 final. That is the next step. So you will be hearing more
23 and more from us relative to that piece.

24 Are there any questions?

25 (No response)

1 MS. WAGNER: You have been a great audience.

2 Thank you.

3 MS. BARRETT: Thank you, Roberta.

4 (Applause)

5 MS. BARRETT: You have been a great audience.

6 And I can tell that you are ready to go now. I can see it
7 on your face. I do appreciate you sitting through with the
8 program so that we could conclude now.

9 Just a couple of things before you walk right out
10 the door. Again, send your written comments. They are so
11 critical. Tell us about your experience and data where you
12 can. That really helps support your recommendations. We
13 have to justify changes that are made or new ideas that are
14 introduced.

15 Also, too, comment on what you like, knowing that
16 others may be commenting from the other perspective. So
17 that is something to keep in mind, as well.

18 But we had a lot of very thoughtful comment today
19 from those who were offering public comment, as well as
20 your questions. We do appreciate that.

21 I want to remind everyone to sign up for the FSMA
22 list serve. Please do that. I think you will find that
23 helpful. As was also mentioned, we are tweeting at FDAfood
24 and at FDAanimalhealth. So check that out and get engaged.

25 We do have the videos that we talked about.

1 | There are also fact sheets on the website. Submit your
2 | comments by February 26, or if that is extended, you would
3 | learn that through the FSMA list serve.

4 | And please keep working with us. We find this
5 | very, very valuable. I hope that you found that this was
6 | time well spent. And thank you again.

7 | (Applause)

8 | (Whereupon, the meeting was adjourned at 1:05
9 | p.m.)

10 |

11 |

12 |

13 |

14 |

15 |

16 |

17 |

18 |

19 |

20 |

21 |

22 |

23 |